

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

VOLUME II
(February 14, 2023, day two of two)

CENTERS FOR MEDICARE AND MEDICAID SERVICES
Medicare Evidence Development & Coverage
Advisory Committee

Meeting held virtually via Zoom

February 14, 2023

Centers for Medicare and Medicaid Services
7500 Security Boulevard
Baltimore, Maryland

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Panelists

Chairperson

Joseph Ross, MD, MHS

Vice-Chair

Sanket Dhruva, MD, MHS, FACC

MEDCAC Members

Michael J. Fisch, MD, MPH, FACP, FAAHPM

David Flannery, MD

Carolyn Ford, PharmD

Genevieve Kanter, PhD

Karen Maddox, MD, MPH, FACC, FAHA

Marc Mora, MD

Olorunseun O. Ogunwobi, MD, PhD

Sally Stearns, PhD

John Whitney, MD

Dru Riddle, PhD, DNP, CRNA, FAAN

Ian N. Kremer, JD

Industry Representative

Parashar Patel, MA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Guest Panel Members
Daniel Arthur Canos, PhD, MD
Craig A. Umscheid, MD, MS
Richard J. Hodes, MD

CAG Director
Tamara Syrek Jensen

MEDCAC Coordinator
Tara Hall

		Page
1	TABLE OF CONTENTS	
2		
3		
4	Opening Remarks and Recap	
5	Tara Hall/Tamara Syrek-Jensen/	
6	Joseph Ross, MD, MHS	210
7		
8	Initial Open Panel Discussion	214
9		
10	Formal Remarks and Voting Questions	272
11		
12	Lunch	313
13		
14	Formal Remarks and Voting Questions	
15	(Continued)	314
16		
17	Final Open Panel Discussion	412
18		
19	Closing Remarks/Adjournment	
20		
21		
22		
23		
24		
25		

1 PANEL PROCEEDINGS

2 (The meeting was called to order at
3 10:09 a.m. EST, Tuesday, February 14, 2023.)

4 MS. HALL: Good morning and welcome
5 committee chairperson, vice chairperson,
6 members and guests, to today's virtual MEDCAC
7 meeting to discuss the analysis of coverage
8 with evidence development. I am Tara Hall, the
9 Medicare Evidence Development and Coverage
10 Advisory Committee coordinator.

11 For the record, voting members present
12 for today's meeting are Sanket Dhruva, Michael
13 Fisch, David Flannery, Carolyn Ford, Genevieve
14 Kanter, Karen Maddox, Marc Mora, Olorunseun
15 Ogunwobi, Sally Stearns, John Whitney, Ian
16 Kremer and Dru Riddle. Nonvoting panel members
17 are Joseph Ross, Parashar Patel, Daniel Canos,
18 Craig Umscheid and Richard Hodes. A quorum is
19 present and no one has been recused because of
20 conflicts of interest. The entire panel,
21 including nonvoting members, will participate
22 in the voting. The voting results will be
23 available on our website following the meeting.

24 We ask that all speakers state their
25 name each time they speak, speak slow and

1 precise so everyone can understand, speak
2 directly into your computer mic, and do not use
3 your speaker phone to help achieve best audio
4 quality. Insure your devices are on mute if
5 not speaking, and while speaking, please place
6 ringers on silent, remove pets from your area
7 and anything else that will minimize
8 distractions and limit background noises.

9 And now I would like to turn the
10 meeting over to our CAG Director, Tamara Syrek
11 Jensen.

12 MS. JENSEN: Good morning, and welcome
13 to our second day of our MEDCAC. Just as a
14 reminder, what we ask our panel to weigh in on
15 is that once the CED has gone through the full
16 national coverage determination process as
17 outlined in the statutes and the Agency has
18 made a decision that there are evidence gaps in
19 the evidence, rather than issue a national
20 non-coverage, we have decided to issue a
21 coverage with evidence development.

22 Today we've asked the panel to give
23 the Agency guidance on the coverage with
24 evidence development criteria for any such
25 request that was presented to the Agency to

1 approve. Any comments that we had on the
2 process, or anything outside of what we've
3 asked the panel to weigh in on, we are taking
4 all those comments internally and we will
5 discuss how we can improve our national
6 coverage determination process.

7 Again, thank you to everyone that
8 commented yesterday, we did appreciate all of
9 those comments and again, deep gratitude to the
10 panel on sharing both of your days with us and
11 giving guidance to the Agency on these very
12 important issues. Dr. Ross?

13 MS. ROSS: Thanks, and welcome back to
14 everyone who is here today. I think we're
15 going to have a pretty eventful, or maybe not
16 eventful but it will be an insightful
17 discussion of these various criteria.

18 Just for the audience, a reminder that
19 while we would like to be in a position of
20 being able to tell CMS when they should issue a
21 decision on a national coverage determination,
22 we are only here to give them advice on the
23 criteria that they should be using when the
24 decision has been issued, how can those studies
25 be best designed and reported in a way that

1 helps CMS design a program that makes the best
2 decisions for its beneficiaries on the product
3 under consideration.

4 We have an opportunity in the
5 beginning of the morning to reflect on the many
6 excellent public comments we received
7 yesterday, we will open that in a moment, and
8 then we're going to move to a formal voting
9 process.

10 This will feel a little sort of staged
11 in the sense that we will be walking through
12 each of the criteria that the proposed part of
13 the AHRQ report that was presented yesterday by
14 Dr. Jodi Segal. For each criteria that was
15 proposed, I will read through the question as
16 the criteria originally stood and is now being
17 newly proposed. I am literally going to go
18 around in the order by which people are listed
19 on the committee roster, ask people to vote and
20 ask people to explain their vote. So each time
21 we're going to be walking around in a circle,
22 just so everyone is aware of that, what the
23 format will look like, all right?

24 But we have an opportunity to begin
25 the day just by reflecting on the information

1 that was presented to us yesterday, and again,
2 I don't know if people have points of
3 clarification that they'd like to ask either
4 among each other on the committee or to others.
5 I would encourage us to try to keep the
6 conversation among us, which is more typical,
7 but obviously if there is an important point of
8 clarification, you can ask.

9 I'll just open it up to the committee
10 to start to see reflections on the day that
11 they want to say aloud, and/or questions for
12 clarification. Remember to use the hand
13 function on your screen. Mr. Patel?

14 MR. PATEL: Thanks, Dr. Ross. So this
15 is a question again, I'm not sure of and I'm
16 kind of curious. What's the definition of
17 contemporaneous comparison group? And I ask
18 that because, you know, frequently in clinical
19 studies you have objective performance criteria
20 based on a similar cohort of patients that may
21 have already had the intervention and you're
22 using that instead of a comparison group, and
23 also it goes from as mentioned, placebo. So
24 would looking at a relatively recent cohort of
25 patients that have undergone similar

1 interventions in those studies, would that
2 qualify as what Johns Hopkins and Dr. Segal was
3 thinking about, the words contemporaneous
4 group? I don't know if that question made
5 sense.

6 DR. ROSS: It does. I think it's
7 essentially saying, you know, that the group is
8 being enrolled at the same time, by time, and
9 that if that group is not included, that just
10 needs to be justified or explained why a
11 historical color would be used. It doesn't
12 explicitly say that that comparison group has
13 to be enrolled in the same study; I suppose you
14 could, you know, speculate that it may be, but
15 those people could come from sort of a
16 real-world data source for lack of a better
17 term, and that their observations are being
18 seen in real time, but I think more likely they
19 were kind of enrolled at that time, that's my
20 interpretation of it.

21 DR. FLANNERY: The is Dave Flannery, I
22 couldn't find my raise hand icon, and I had a
23 question on a requirement from yesterday.

24 DR. ROSS: Yes, of course.

25 DR. FLANNERY: It was requirement R in

1 the report from AHRQ and question 17 on the
2 voting questions, and I'm not sure I understand
3 requirement R. It seems to be more like a
4 negative statement rather than a positive
5 statement and I don't quite understand the
6 importance or value of that. I think Dr. Segal
7 would be the best person to explain that.

8 DR. SEGAL: Hi. This is in response
9 to what was the initial requirement, initially
10 it was I, which did talk about studies to test
11 toxicity, so we felt like we needed to include
12 some reference to toxicity to be consistent
13 with the initial set of requirements, the
14 phrase or two that we thought were particularly
15 unclear in the initial requirements that talked
16 about testing the pathophysiology in healthy
17 individuals.

18 DR. ROSS: Dr. Segal, thank you again
19 for being with us. It completely escaped me
20 that you would be with us again. If you want
21 to address Mr. Patel's question about
22 contemporaneous controlled and if I interpreted
23 that correctly.

24 DR. SEGAL: Up did fine, Dr. Ross.

25 DR. ROSS: Dr. Fisch?

1 DR. FISCH: Since
2 sponsors/investigators seems to come up in
3 several of the items, I found myself a little
4 bit puzzled about why they weren't
5 distinguished, but I found yesterday's
6 conversations, you know, pretty helpful. And
7 essentially, I guess I imagined that in a given
8 protocol, I imagined like the face page
9 typically has the investigators, you know, the
10 principal investigator, coinvestigator, lead
11 statistician, you know, substudy chairs, and so
12 I was thinking of that as investigators, and
13 then the sponsors could be fully employed
14 researchers or part of that study team, but not
15 always and typically not. And then there is
16 site investigators, the people who are, in
17 multicenter studies are involved.

18 But in the end for our purposes, it
19 seemed like investigators don't get named right
20 from the beginning of this process, and the way
21 I ended up thinking about it is just think
22 about the sponsor really as the key word, the
23 sponsor and their chosen set of investigators
24 whenever that takes shape. This is just
25 reflecting on how I processed some of that

1 yesterday.

2 DR. ROSS: Dr. Kanter?

3 DR. KANTER: Yes, this is a question
4 for Dr. Segal on criteria Q, I had two
5 questions related to that.

6 The first relates to the sharing of,
7 quote, analytic outputs and analytic code with
8 CMS, and I assume that's to support replication
9 to include data in the output. Is that
10 everything that's required to do the
11 replication, is the first question. I'll
12 pause.

13 DR. SEGAL: Right. So no. In one of
14 the interim versions we did, we said that
15 investigators would commit to sharing the
16 identified data. After it went through the
17 public comment period, though, we removed the
18 sharing of data in response to those comments
19 because we thought it would make recruiting
20 participants too difficult, so that was the
21 rationale.

22 DR. KANTER: I see. So then the
23 sharing of these things would then, without the
24 data, it seems like that sort of weakens
25 whatever replication efforts there might be, or

1 unless replication is totally out, if I can
2 clarify?

3 DR. SEGAL: Right.

4 DR. KANTER: Okay. Secondly, the part
5 related to HIPAA, and in this earlier criterion
6 it had data governance and data security, and I
7 noticed the governance, privacy issues under
8 governance, so it's governance and then privacy
9 and security. I assume that the reason that's
10 not there is because the code privacy had to
11 account for stipulations related to data
12 privacy under the new criterion, would that be
13 a good assumption?

14 DR. SEGAL: Right, we thought it would
15 be separate.

16 DR. KANTER: Good, thank you.

17 DR. ROSS: Mr. Kremer?

18 MR. KREMER: Thanks. So two questions
19 for Dr. Segal, and I just want to start by
20 thanking Dr. Segal again for really excellent
21 work under very difficult circumstances, and I
22 will try not to make the circumstances more
23 difficult with my questions.

24 So apologies if this has been asked
25 and answered and I missed it or didn't absorb

1 it, but in the second criteria where there is
2 reference to timely completion of the CED
3 process, do I understand correctly that that is
4 subject to a negotiation in any single CED,
5 that would be subject to negotiation between
6 the sponsor or investigator and CMS, ultimately
7 CMS is the unilateral decision maker about what
8 timely completion means, and that's a
9 responsibility solely oriented toward the
10 investigator or sponsor, it's not requiring CMS
11 to complete an end of the bargain, if you will,
12 if reconsideration based on the successful
13 completion of the trial and submission of a
14 reconsideration request, right?

15 DR. SEGAL: I guess it's how you
16 interpret it, how you think that if the
17 milestones are to be met, CMS has to do their
18 part as well, or they won't be met.

19 MR. KREMER: Okay. Just so that I
20 understand, that would be the logical
21 explanation and expectation, but it's not
22 actually required and articulated anywhere in
23 the report as a proposal, right? So a sponsor
24 could do everything that had been agreed upon,
25 sponsor or investigator could do everything

1 that was agreed upon at the outset with CMS,
2 but the report and these recommendations don't
3 include any actual structure or articulated
4 mandate, or voluntary on the part of CMS,
5 articulation of a timeline under which CMS will
6 then engage upon a formal reconsideration,
7 obviously the outcome of which would be subject
8 to the interpretation of the evidence, that is
9 not a part of the AHRQ report, recommendations,
10 voting questions today.

11 DR. SEGAL: That's right.

12 MR. KREMER: Okay, got it, thank you.

13 And then the next question is our
14 fourth voting question which I suppose is
15 probably item D in the report, and there's this
16 reference, we discussed it a bit yesterday,
17 about net benefits. Do I understand from the
18 report that you generated and yesterday's
19 discussion, net benefit is purely about benefit
20 to patients, it's clinical benefit, it's not
21 economic benefit, it's not cost saving, it's
22 not the triple lane or any of that, it's
23 purely, it is patient benefit where patients as
24 a class benefit from this therapy, service,
25 et cetera.

1 DR. SEGAL: Right.

2 MR. KREMER: Okay. Is that
3 articulated as such in the report and I just
4 missed it, or is that just your and my
5 interpretation of what net benefit ought to
6 mean?

7 DR. SEGAL: I think it's in D, the
8 primary outcome is for clinically meaningful
9 differences.

10 MR. KREMER: Okay. All right. Thank
11 you.

12 DR. ROSS: Dr. Segal, can I just
13 follow up on Mr. Kremer's question? When the
14 report was being generated, the milestone issue
15 which came up a bunch yesterday and just to get
16 to it, was there ever a discussion about adding
17 a milestone after submission of the materials
18 to sort of have a follow-up meeting to discuss
19 the results with the Agency, just as a
20 question, as one of the milestones?

21 DR. SEGAL: No.

22 DR. ROSS: Or was a specific milestone
23 discussed?

24 DR. SEGAL: Specific milestones
25 weren't discussed, including any meetings,

1 that's not part of it either.

2 DR. ROSS: Okay.

3 MR. KREMER: Joe, I apologize, just a
4 very quick followup, not an interrogation, just
5 clarification. Dr. Segal, in your last
6 response to me you were saying that the net
7 benefit should be interpreted as the clinical
8 benefit to the patient because of the reference
9 to clinical meaningful difference, correct, and
10 so that's putting D and E together, seeing them
11 as conjoined twins if you will. Is that
12 correct, is that why you're making that point?

13 DR. SEGAL: Sure.

14 MR. KREMER: Okay, thank you. Thank
15 you, Joe.

16 DR. ROSS: Sure. Dr. Canos?

17 DR. CANOS: Good morning. Just a bit
18 more clarification with respect to the wording
19 on the HIPAA aspects. In thinking about the
20 target here, sponsors, investigators and their
21 commitment on the data side, I'm just trying to
22 understand the target of the wording here in
23 compliance with applicable laws. Are we
24 viewing HIPAA as a point to
25 sponsor/investigators, or are we thinking more

1 so about governance and security data
2 provisions, recognizing that some of the
3 individuals collecting the information,
4 providing information where HIPAA would be
5 applied, you know, health plans, clearing
6 houses, the providers themselves where HIPAA
7 would be applicable, as opposed to sponsors and
8 investigators as not the ones directly
9 providing care would be the ones that have to
10 be following the rules in requirement B, and in
11 any of the governance and security provisions
12 that would be kind of imparted upon that.

13 What are, you know, bottom line, I'm
14 wondering if it would be best to close out the
15 words even after below, and then HIPAA would
16 specifically apply to sponsor/investigators in
17 this case with the requirements.

18 DR. SEGAL: I would say honestly, we
19 didn't think it through in that detail. We
20 felt like we needed to keep all of the
21 regulations that existed in the initial set
22 where they were.

23 DR. CANOS: Okay, thank you.

24 DR. ROSS: Mr. Patel?

25 MR. PATEL: Thank you. So I have one

1 specific question and that is a general
2 observation/question for Dr. Segal. I'll get
3 to the specific one and then get to a general
4 one.

5 Criteria N, which discusses
6 sponsor/investigators describe plans, and then
7 the phrase as motivated by existing evidence?
8 Typically folks might say based on existing
9 evidence, and I was struck by that wording
10 versus based on. Was there any reason or am I
11 reading way too much into the words?

12 DR. SEGAL: I don't know why it showed
13 up like that. That seemed to happen after the
14 KI discussion. I don't know.

15 MR. PATEL: That's fair. And then the
16 broader question is, you go through the
17 criteria, some of the criteria described
18 sponsors and investigators having to this,
19 other criteria you talked about the protocol
20 does this and you know, you could look at for
21 example, in criteria D the references to
22 sponsors, investigators; criteria F talks about
23 the protocol describing something; criteria C
24 doesn't talk about any of those. Were there
25 conscious choices made there or was it just to

1 make it flow so you're not saying the protocol
2 does this in every criteria? Again, maybe a
3 silly question, but I didn't know what to read
4 of the changing actors, right, in the different
5 criteria.

6 DR. SEGAL: It was not done with a lot
7 of intent.

8 MR. PATEL: Thank you.

9 DR. ROSS: Little did Dr. Segal know
10 that we would be asking about the intent of
11 each individual criteria.

12 DR. SEGAL: That's fine.

13 MR. PATEL: The words are important
14 because if this is going to be policy or some
15 aspect of it, I just want to make sure the
16 intentions are clear, right?

17 DR. ROSS: Absolutely.

18 DR. SEGAL: And remember too that CMS
19 made wording changes too, that aren't
20 necessarily documented exactly in this
21 document.

22 MR. PATEL: Great.

23 DR. ROSS: Dr. Stearns?

24 DR. STEARNS: Excuse me. I just want
25 to get back to Mr. Kremer's point briefly about

1 net benefit, in that I know it's out of our
2 arena to consider cost and value and I think
3 we're all clear on that, but the focus was very
4 much on the patient. Are we to from a patient
5 perspective consider that to include patient
6 family and caregivers also?

7 DR. SEGAL: Yes, I think we always
8 would.

9 DR. STEARNS: Okay. I just wanted
10 that for clarification.

11 DR. SEGAL: Thank you.

12 DR. ROSS: Dr. Dhruva?

13 DR. DHRUVA: Thanks. I wanted to
14 follow up, Dr. Segal, thanks for helping us
15 better understand item Q. So Dr. Kanter's
16 question brought up to me what seems like an
17 important gap where the data are not shared
18 with CMS or a trusted third party, and this
19 leads to me to a couple of questions.

20 One is, and I know we discussed this a
21 little bit yesterday, but what is, what does
22 that trusted third party, are you able to sort
23 of provide an example or two of what that might
24 mean, and yeah, I guess, I think that would be
25 helpful, and would there be any expectation

1 that the actual raw data would be shared with
2 that third party if not with CMS?

3 DR. SEGAL: So right now it doesn't
4 say the data would be shared, and I think the
5 third party would be a contractor of CMS, some
6 analytic shop.

7 DR. ROSS: Okay. Mr. Kremer?

8 MR. KREMER: Thanks, Joe. Dr. Segal,
9 I want to draw attention to, I think it's
10 recommendation J, reflects the demographic and
11 clinical diversity, that item, that voting
12 question. So first of all, thank you for
13 addressing this, I imagine we all agree and
14 firmly so that health equity has to be at the
15 center of American health policy and practice,
16 and I will just note for the record, my
17 organization has worked, I hope tirelessly, we
18 certainly try to work tirelessly to encourage
19 NIH, FDA, CMS, other stakeholder government
20 organizations and certainly the private sector
21 and the patient and family communities of
22 advocates to prioritize that issue. But I do
23 want to understand what the implications are
24 for this voting question is in the context of
25 CED and your report.

1 So does the report articulate a
2 standard by which reflecting should be
3 measured, what reflects and what fails to
4 reflect, is there a formula that's proposed,
5 does CMS already have a formula? I understand
6 it can't be one size fits all because different
7 health conditions have different rates of
8 incidents and prevalence, but is there a system
9 that CMS uses to determine what does reflect,
10 what level of inclusion would meet or exceed
11 reflecting that diversity, or are you proposing
12 any method or metric on which CMS could then
13 calculate it, so that there's clarity between
14 not only investigator/sponsor and the Agency,
15 but frankly more important, the consumer
16 public, the patients and to Dr. Stearns'
17 excellent point, family supporters of patients
18 will understand whether a CED study is going to
19 actually achieve results that would be
20 considered reflective and representative, and
21 therefore be eligible for a potential
22 reconsideration process?

23 DR. SEGAL: No, we couldn't really
24 include the operationalization of all the
25 requirements in this document, so it's probably

1 up to CMS and the sponsor/investigators to
2 discuss what that looks like, and I imagine it
3 would be described in the protocol.

4 MR. KREMER: Okay. So there is not an
5 existing standard that you're aware of that CMS
6 uses, or a set of methods that they employ to
7 set that, this is forward looking purely?

8 DR. SEGAL: Right, not that I'm aware
9 of, but there may be.

10 MR. KREMER: Okay. Well, I'll give up
11 the floor in a moment, Joe. I would just say
12 it would be very helpful for forward looking if
13 CMS could articulate for us or for the public
14 later the method they will use when they are
15 trying to come to a determination with a
16 sponsor so that we understand if this is
17 practical and achievable, or if it's just an
18 academic discussion, an ideal that there is no
19 plan to actually achieve. Because it's where
20 the rubber meets the road for particularly
21 overrepresented and under included communities
22 across various aspects of demography that we
23 ought to concern ourselves with, how does this
24 get operationalized rather than
25 philosophically, is it a valid point.

1 DR. ROSS: Thanks. Dr. Maddox?

2 DR. MADDOX: Thank you. So first I'd
3 just like to voice my support for the folks who
4 have raised concerns about the lack of
5 inclusion of data in the things that will be
6 shared. I think that's a pretty significant
7 decision as to whether or not data would be
8 shared, and while I certainly appreciate that
9 it's important to encourage people to
10 participate, to the degree that we're moving
11 towards data collection as part of the delivery
12 of clinical care for real-world evidence or
13 electronic health records to claims, Medicare
14 already has the data, they have data on
15 everything they pay for, so to some degree I
16 think that expecting that the group who is
17 doing the paying will, you know, receive the
18 information that they need about the patients
19 is not quite the same as saying that you will
20 share someone's personal data around, you know,
21 sort of unrelated items.

22 So I think we should really at least
23 consider encourage that the criteria opens the
24 for inclusion of data. I feel strongly that it
25 should be included, that may not be everyone's

1 opinion, but I do think it's a really important
2 decision.

3 My second comment is something I don't
4 know the answer to and I'm struggling with, and
5 wonder if others are that might come up in our
6 conversation this morning. The idea of the
7 timing of the creation of additional evidence
8 to evaluate coverage seems crucial, and I'm not
9 talking about the out of scope part about the
10 decisions that CMS makes, I'm talking about the
11 degree to which the studies are actually timed
12 appropriately. If you're trying to use
13 real-world evidence to understand who, the
14 benefit of something, it's quite difficult to
15 do once everybody's getting it, so you could
16 not do a TAVR versus SAVR comparison once that
17 can be everywhere, because the clinical
18 decision about who gets what is going to
19 overweigh the -- outweigh the differences in
20 the clinical efficacy of each of those choices,
21 right?

22 But initially, before it was
23 everywhere, you would have sort of plausible
24 comparisons where the only reason people
25 weren't getting it is because it wasn't at

1 their center, not because they weren't a
2 candidate, whereas now if you don't get it and
3 you're otherwise as far as we can tell a
4 candidate, that's clinical decision making and
5 you can't use that to generate real-world
6 evidence.

7 So it seems to me that there ought to
8 be at least some phrasing in here that talks
9 about encouraging the studies to be,
10 contemporaneous isn't right, but like early or
11 timed immediately or something like that, so
12 that it really is saying that we expect that
13 part of this is that people are going to plan
14 to start collecting data out of the gate, both
15 because the data will be better, and also
16 because we have an expectation that there are
17 going to be decisions made contextually around
18 the future coverage.

19 So I've just been struggling with
20 whether that fits in anywhere here or not, but
21 I do feel that the time limits of the data is
22 an appropriate part of whether it's useful,
23 frankly, for this type of study. Thanks.

24 DR. ROSS: Dr. Segal, did that come up
25 in conversations, or do you want to address

1 that?

2 DR. SEGAL: No, it did not
3 specifically come up.

4 DR. ROSS: Okay. Dr. Canos?

5 DR. CANOS: Thank you. I did want to
6 just get a little clarity around voting
7 questions in comparison to the slides presented
8 yesterday from Dr. Segal. Specifically, you
9 know, a part of my comments on the questions
10 would leverage the existence of certain
11 sections that don't appear within the voting
12 questions, particularly the applicability of
13 CFR part 45, CFR 46, as well as 21 CFR 50 and
14 56, is it your understanding that those are off
15 the table because those requirements would
16 exist, and we're just voting on one, or
17 commenting on ones that are going to be refined
18 in some way?

19 I just want to make sure that as I
20 provide comments, it is appropriately
21 referencing requirements that are going to be
22 place even if they don't appear within the
23 voting themselves.

24 DR. ROSS: Is that a question to CMS?
25 Not -- I guess I would, I'm stumbling a little

1 bit because I'm looking at the scoring sheets
2 and only seeing that what we have in front of
3 us. Tamara, do you want to jump in?

4 MS. JENSEN: I can answer, yeah, yeah.
5 So Daniel, I think that's exactly right, those
6 are legal requirements that we would not
7 remove, because those are things that, I don't
8 have it directly in front of me but you know,
9 you've got team subjects, you've got various
10 FDA regulations, you have HIPAA statutes, all
11 of those must be followed.

12 DR. CANOS: Thank you. And that is
13 super helpful, you know, it affects a lot of my
14 comments here about us adding in wording for
15 HIPAA if it's already baked in as well as, you
16 know, some of the other data elements such as
17 data privacy, et cetera. So knowing those that
18 exist help me and hopefully the other panelists
19 know what we, where we should be commenting on
20 this. Thank you.

21 DR. ROSS: Thank you. Dr. Ford?
22 You're on mute, Dr. Ford.

23 DR. FORD: Hi. Yes, I wanted to just
24 follow up on a comment that was made yesterday
25 by Dr. Segal regarding the possibility of

1 generating a secondary document that provides
2 more detailed explanations about the intent of
3 the wording that's in the proposed wording. Is
4 that something that ought to be done or is that
5 an idea that's just on the discussion? The
6 secondary document would provide more clarity
7 about the intentions of the new wording.

8 DR. SEGAL: It wasn't something that
9 CMS asked us to do, so that would be up to
10 them.

11 DR. FORD: Okay. So would we be
12 making a recommendation to CMS that that
13 particular document be generated?

14 DR. SEGAL: It isn't one of your
15 voting questions, but Dr. Ross?

16 DR. ROSS: Yeah, Dr. Ford, that's not
17 an explicit voting question but if it's
18 explicit context which we can offer, which is
19 to say these criteria, you know, would benefit
20 from almost like I an E&E explanation for each
21 individual one or something, and CMS can take
22 that under advisement as they prepare a final
23 policy that would then be put out for public
24 comments, essentially, right? So they take our
25 advice into consideration, then they decide

1 whether or not to adopt the criteria as
2 proposed plus our comments, they then finalize
3 a policy document that goes out for public
4 comment before any criteria is finalized. So
5 there's opportunities you all along the way.
6 Does that make sense? Great.

7 Dr. Ogunwobi?

8 DR. OGUNWOBI: Yeah, I'm going to give
9 Dr. Segal a break and maybe ask for
10 clarification from maybe yourself, Dr. Ross, or
11 someone else. As I've been reflecting on all
12 of the comments, I think it's good for me to
13 just clarify again, as we vote on the
14 requirements, would it be appropriate to vote
15 essential for something I highly agree with and
16 don't want to suggest any change, and then
17 maybe to vote important or not important for
18 things I would want to recommend change? Is
19 that the correct way to approach this as we
20 approach voting?

21 DR. ROSS: Well, I think there's a
22 certain subjectivity and everyone may approach
23 this a little bit differently. My impression,
24 and having participated in prior meetings, is
25 it's not about complete agreement, it's about

1 whether the criteria is not important,
2 important or essential, and then just clarify
3 how that criterion as proposed could be
4 strengthened or perhaps goes, you know, is
5 inappropriately worded, say as if to say
6 information, a criteria related to the
7 communication between CMS and the study team is
8 essential, but as worded this criterion could
9 be strengthened by blah, blah, blah, or you
10 know, it's not necessary to require blah, blah,
11 blah. That's how I have generally approached
12 it and again, for the audience also, when we've
13 been tasked to vote on these criteria for CMS
14 in our advisory role, while the voting itself
15 provides value, the most critical part is that
16 there's a court reporter that's recording all
17 of the comment that we make that are then
18 transcribed brought back to the entire coverage
19 team for their synthesis, deliberation and
20 discussion.

21 And so I would just encourage every
22 committee member to speak out loud the thought
23 they're having as they're making their vote,
24 and why and how the criteria are important or
25 could be made slightly different. Does that

1 make sense?

2 DR. OGUNWOBI: Yes, that's helpful,
3 thank you.

4 DR. ROSS: Dr. Riddle, I have you
5 next.

6 DR. RIDDLE: Good morning, thanks.
7 Dr. Segal, I appreciate all the work you and
8 your team have done. I have a question for you
9 regarding the reporting criteria, and the
10 language that we're being asked to vote on is
11 that the study is being submitted to peer
12 review with the goal of publication, and I
13 wonder if you might, if you can think back to
14 sort of some of the deliberations that you and
15 your team had around this sort of compact
16 statement relative to the current CED
17 requirements. And I'm thinking along the lines
18 of public availability, and publication bias
19 when you have negative or insignificant
20 results, which potentially wouldn't be as
21 appealing to editorial boards and the like. So
22 was there some conversation that you had around
23 if it's not published, then what, and where do
24 those results live so that they're sort of in
25 the eye of the public and the scientific

1 community?

2 DR. SEGAL: So, we would expect that
3 results are posted on clinicaltrials.gov
4 because all of these, whether they're trials or
5 cohort studies, we're encouraging be posted
6 there, so I think there will be a record there.
7 Back after the KI panel discussion we favored
8 peer review for vetting rather than public
9 posting. But you know, we went with the
10 compromise that you should submit it with a
11 plan for peer review, but that it should also
12 be publicly posted, so that it's accessible.

13 DR. RIDDLE: Great, that's helpful.
14 Thank you very much.

15 DR. ROSS: Mr. Patel?

16 MR. PATEL: Thank you. I think the
17 criteria overall are relatively general. I
18 know we're asking for more specificity here and
19 specificity there, but I think one thing to
20 perhaps keep in mind is, you know, having
21 broader general criteria might be more helpful
22 in a policy context where situations come up
23 later and you can't then get yourself out of
24 something that might be tightly defined, no
25 matter how much you might want to, so giving

1 CMS the broader flexibility, I think is
2 probably helpful to, frankly not just CMS but
3 manufacturers and sponsors.

4 For example on the data requirements,
5 believe it or not, there's a current real-world
6 evidence CED in which the sponsor can't by
7 contract with a third party turn over Medicare
8 claims data back to Medicare. It boggles the
9 mind but those are the types of contracts that
10 are there, and so I think we ought to be
11 careful about trying to impose requirements, if
12 you will, on data submission, because that
13 might actually handcuff study sponsors and
14 manufacturers and others.

15 You know, a similar thing, I think on
16 the timeliness of the data, I completely agree
17 with Dr. Maddox that you know, the time period
18 in which it's collected and the technology is
19 disseminated widely to groups out there, so I
20 think what might make more sense, and this
21 might be out of scope but I'm going to make
22 this process suggestion, because what CMS I
23 think typically does with CED today is it will
24 issue the CED decision and they will indicate
25 that the proposed study meets the criteria, the

1 current criteria, and I think what might be
2 helpful to everybody, study sponsors, the
3 public, manufacturers, and even CMS, is in the
4 decision memo maybe, you know, it doesn't have
5 to be paragraphs and pages, but provide some
6 insight into each criteria for why this
7 particular study met the criteria, right? And
8 I think that would establish a good, if you
9 will, case bump, and provide the public and
10 others with the context of why they made this
11 decision to allow this type of study versus
12 another one. So that's just a general thought.

13 I think that would also, frankly,
14 provide confidence that CMS's decision making
15 is consistent across technologies, and varies
16 maybe because of clinical perspectives,
17 et cetera. So I think that might be helpful, a
18 little bit off scope but I put that out there
19 because I know CMS is listening.

20 DR. ROSS: Thank you, Mr. Patel, for
21 making those comments.

22 Dr. Stearns?

23 DR. STEARNS: I have two comments on
24 prior comments that have been made. First, I
25 appreciate Dr. Riddle's point. And one comment

1 that I plan to make on one of the criteria is
2 that there are some journals that are actively
3 working to reduce publication bias from failure
4 to publish negative findings, so I think this
5 has the potential to be very beneficial.

6 And second, I really want to endorse
7 the points that were clearly made by
8 Dr. Maddox, because I think those are really
9 important, and Dr. Patel just emphasized some
10 of those points. Thank you.

11 DR. ROSS: Thank you. Dr. Kanter,
12 your hand went up and down, I had meant to call
13 on you before Dr. Stearns. Did you still have
14 a question?

15 DR. KANTER: No worries, yes. I had
16 some second thoughts but well, since I'm on, I
17 might as well ask. It was in relation to --
18 actually, why don't you go ahead to the next
19 speaker while I find it.

20 DR. ROSS: No problem. Dr. Canos?

21 DR. CANOS: Thank you. You know,
22 reflecting back on comments yesterday, you
23 know, in thinking about the wide ranging that
24 the CED covers, I think there was a substantial
25 focus on postmarket data collection alone, you

1 know, after FDA market authorization, and some
2 mischaracterizations of programs like the
3 breakthrough program where FDA may consider the
4 nature of data to be collected in the
5 postmarket setting, or the premarket where they
6 extend all that uncertainty where appropriate
7 in the benefit-risk profile type of approval.
8 So I think it's important for us to think, you
9 know, as we look at the CED more widely than
10 post market, we'll go back through and correct
11 the record as far as the characterizations of
12 the FDA side. But I do want to say that you
13 know, I think we've heard from both, it looks
14 like Dr. Brindis yesterday talking about the
15 importance of CEDs more widely and taking
16 evidence generation and providing clarity to
17 innovators in the field and providing those
18 innovations to Medicare beneficiaries in, you
19 know, in an appropriate level of access and a
20 timely fashion.

21 So in thinking about yesterday,
22 thinking about the criteria, I think I really
23 heard some great comments from the panelists
24 about how do we have this efficient level of
25 specificity and rigor scientifically, while

1 providing flexibility, understanding that these
2 aren't just postmarket requirements for data
3 collection from the FDA side that inform, you
4 know, coverage decisions in the future. But
5 also, you know, IDE studies, premarket studies
6 where, you know, CMS is shaping the totality of
7 the evidence generation and providing that
8 clarity in this space.

9 DR. ROSS: Thank you for making that
10 comment. Dr. Kanter, did you want to jump back
11 in?

12 DR. KANTER: Yes. I actually now have
13 three questions, this is what happens, so the
14 first one relates to criterion E for Dr. Segal.
15 I just wanted to clarify, so originally the
16 existing requirement was that the study has a
17 protocol that clearly demonstrates adherence to
18 the standards listed here as Medicare
19 requirements. So that is no longer part of the
20 criterion and just wondering, was that part of
21 that decision to split up different elements of
22 the protocol into different criteria, or is
23 that significant somehow, its removal from this
24 criterion?

25 DR. SEGAL: No, I think that shows up

1 elsewhere with -- well, when we talk about the
2 written plan with the milestones, and then also
3 in F, saying the protocol, what the protocol
4 describes. Maybe there isn't specifically a
5 call for a protocol --

6 DR. KANTER: I'm just thinking about
7 the Medicare standards, the data sources, key
8 outcomes, key elements of design. I mean, they
9 are all sort of in different parts of the
10 document, of the criteria but yeah, just
11 wondering about its removal from this
12 criterion.

13 DR. SEGAL: Oh, well, no. In E, the
14 CED study is registered, and a complete
15 protocol is delivered to CMS. We thought H was
16 a little funny because it's self referential,
17 right, because the Medicare requirements are
18 the ones you're reading right now, which seems
19 a little awkward.

20 DR. KANTER: And then complete
21 protocol, the elements are not specified?

22 DR. SEGAL: They are not. They are
23 not.

24 DR. KANTER: The second question
25 relates to, you know, the diversity criteria,

1 and I think there are a couple of them. I'm
2 not sure if we want to address this in the
3 criteria themselves, but I think it may be
4 possible to do age and gender. I think
5 socioeconomic status at an individual level, as
6 Craig mentioned yesterday, is a bit tricky but
7 probably at a ZIP level code. Racial and
8 ethnic backgrounds, I wonder depending on the
9 group if there might be some power issues,
10 especially related to, you know, populations or
11 conditions where there may be difficulty in
12 recruitment. I wonder if there were some
13 discussions related to that and how we might
14 think about that.

15 DR. SEGAL: Well, again, that was
16 largely in response to the public comments,
17 because after the KI panel we said population
18 reflects the demographic and clinical
19 complexity of Medicare beneficiaries, without
20 defining in more detail. The public commenters
21 suggested that it be more explicit about what
22 those characteristics are. That's the
23 rationale really.

24 DR. KANTER: Thank you. And the third
25 relates to the timing, which I agree the

1 timeline of the data being collected. I do
2 worry from just a general high level point of
3 view that, you know, as some of these, there
4 might need to be more structure related to the
5 use of the data for decision making purposes,
6 because that could also compromise the validity
7 of the trial for, you know, the study that's
8 being run if we prematurely release data, so
9 that's just one thought to the need for the
10 timeliness of the release of the results of
11 these studies. Thanks.

12 DR. ROSS: Not seeing any other
13 questions, I was going to ask one. I generally
14 wait to make sure committee members aren't
15 going to ask this, but I have one question for
16 Dr. Segal around the I, the primary outcome
17 issue where you say the primary outcomes for
18 the study are clinically meaningful and
19 important to patients, which I presume to mean
20 Medicare beneficiaries, but I did want to
21 clarify if discussions were had as part of the
22 criteria tempt, given that this is an older
23 populations or often disabled population, and
24 discussed as a part of the clinical
25 meaningfulness, not just to the patients or

1 beneficiaries themselves, but to the
2 caregivers.

3 DR. SEGAL: Right. Not explicitly,
4 but I think in our head we do think about
5 patients and caregivers, but you're right, not
6 explicitly discussed.

7 DR. ROSS: Okay. Mr. Patel?

8 MR. PATEL: Thank you. So I'm going
9 to go back to the timelines because I think,
10 Dr. Kanter, maybe you can clarify, or even
11 Dr. Maddox who raised it originally. Are you
12 talking about the timeliness of making sure
13 that the study when it's completed, the data is
14 either released or published timely, or were
15 you, I thought the conversation initially was
16 about beginning to collect the information and
17 then you will start the study in a timely
18 manner, because then I have a follow-up
19 question or a point I think, particularly on
20 the first one.

21 DR. MADDOX: I can speak for myself.
22 I was referring to the data collection issue, I
23 was thinking of the criteria about the data
24 quality, that we should encourage timeliness of
25 the data as a component of data quality. I

1 don't disagree with the other, but that's the
2 one I was talking about.

3 MR. PATEL: Yeah, so I think on that
4 one, you know, again speaking from the industry
5 side, the context here I think is important for
6 us to recognize, because without CEDs, it very
7 frequently actually goes into the market and
8 sells the device, particularly for Medicare
9 patients, and so most of the time companies are
10 usually eager to get the CED decision quickly
11 after FDA approval and get the studies going,
12 so I think there may be a little bit less
13 concern at least on the industry part of
14 delaying that, and then particularly with many
15 of the novel interventions, I understand the
16 concern that it becomes more challenging to
17 find a comparator group, if you will, once it's
18 disseminated, but I think one thing to keep in
19 mind is frequently with medical devices in
20 particular, but it may also be true in other
21 new services, et cetera, training provisions
22 for healthcare providers in a new technology
23 also takes time, and so that's just another
24 thing to weigh, right, but I completely
25 understand why you would want to provide that

1 context. And I wasn't sure whether timeliness
2 of a study could have any relevance, but I'll
3 just put that out there as a question for
4 others.

5 DR. ROSS: Dr. Kanter?

6 DR. KANTER: Yes, thanks for that
7 clarification. I appreciate it, and maybe I
8 misinterpreted Dr. Maddox's suggestion of sort
9 of release as the trial or study is taking
10 place to facilitate the decision making, and so
11 if the study and the results are absolutely on
12 board with timeliness of the data collection.

13 Second question, actually for
14 Dr. Canos at the FDA. There, you know, there
15 have been some claims made that the, and you
16 might have mentioned this before and I
17 apologize if I missed I, that, the claims made
18 that the criteria for post-approval studies for
19 the FDA are, you know, may be different from
20 what's proposed for a CED. I wonder if you
21 could address those claims.

22 DR. CANOS: So not exactly holding the
23 particular conversation to which you're
24 referring, but I would say, you know, as far as
25 the post-approval studies from the FDA side,

1 there was, I think we heard from Dr. Bockstedt
2 from Medtronic yesterday about aspects where
3 actually FDA collaborated with CMS and the
4 stakeholders to align an evidence generation
5 that made sense, right-sized, you know,
6 studies, actually a tiered approach where
7 Medicare leveraged the existing FDA kind of
8 clinically rich Chin post-approval study, and
9 on top of that layered a claims-based study
10 that captured the wider Medicare beneficiary
11 performance within claims, and was additive to
12 kind of the deep dive clinical study. So I
13 think there have been success stories there.

14 Also with Dr. Brindis, you know, I
15 think we've heard him discuss left atrial
16 appendage closure registry, where postmarket
17 data requirements aligned within the registry
18 infrastructure and FDA worked very closely with
19 CMS as well as professional societies and with
20 industry and patients to align as far as the
21 evidence generation collection there.

22 So where appropriate, where possible,
23 we work together on the evidence generation so
24 it's additive and not duplicative in any form,
25 if that was getting to the question raised, or

1 is there a separate aspect you wanted to touch
2 upon?

3 DR. KANTER: No, you answered it very
4 nicely. Thank you.

5 DR. ROSS: That was helpful,
6 Dr. Canos. It does suggest, you know, this
7 kind of interesting opportunity for
8 collaboration between agencies, which is well
9 beyond our purview bit it does, as it relates
10 to the criteria suggests, as Mr. Patel said, an
11 opportunity for flexibility, so that it does,
12 you know, it's not so overly restrictive that
13 it would preclude those retypes of
14 collaboration between the two agencies and
15 whatnot, but that sort of thing elaborates it.

16 Dr. Canos, you had a question?

17 DR. CANOS: I do, and sorry to be the
18 noisy gong on this, but would it be possible as
19 we provide our comments during voting for us to
20 see which of the requirements are that we're
21 not voting on that are set in stone just so we
22 can say okay, you know, I'm making these
23 comments, but we've already put out there these
24 requirements are set, just visually. I
25 understand kind of theoretically which ones

1 those are per se, but it would help me as far
2 as the comments go if those would be possible
3 to put up on the screen.

4 DR. ROSS: We can't put them up on the
5 screen as I understand it, because they have to
6 be able to see us, but I think it's available
7 as an appendix in some of our material, and
8 maybe Tara Hall can recirculate the old
9 original criterion that Dr. Segal used as a
10 starting point. That's sort of an A through M
11 list of criteria.

12 DR. SEGAL: Well, I'm sorry, Dr. Ross,
13 but I think in the full report, Table 5 is the
14 final version.

15 DR. ROSS: Oh. So now A through S, is
16 that right, Dr. Segal.

17 MR. BASS: Yes.

18 DR. ROSS: So it is there for
19 individuals to see. I haven't cross-checked
20 like our voting questions versus which is
21 which, but I can try to do that during a break.

22 DR. CANOS: Yes, so specifically, we
23 do have A through S from Dr. Segal's
24 presentation in front of us. My specific
25 question is, in that presentation, I understand

1 we are not voting on S and S is going to be a
2 requirement that persists. But I'm wondering
3 which other lettered requirements are not being
4 voted on and are going to be, you know,
5 existing criteria, you know, just so I
6 understand which of these other ones that we're
7 commenting on or voting on are possibly
8 duplicative of ones that are going to be
9 standing that we're not considering today.

10 DR. ROSS: I think we're voting on
11 every other one than S. That's my memory but
12 perhaps Tamara, if you want to clarify?

13 MS. JENSEN: Let me take a look at
14 them, Daniel, and let me get back with you and
15 confirm specifically which ones you will not be
16 voting on because those are statutory issues,
17 you know, that we will not review, versus the
18 scientific criteria.

19 DR. CANOS: Okay, that's super
20 helpful, in particular as I'm commenting on,
21 you know, the aspects for, you know,
22 governance, question number three on where
23 there's no existing portion of governance and
24 data security provisions, you know, if they're
25 otherwise covered by S, that would affect the

1 way I comment there. And additionally there's
2 reference to data sharing and HIPAA, and that
3 would also affect my comments if there's an
4 element S there that covers aspects of HIPAA.

5 So that's the nature of the question.
6 It informs where I go on the commentary on the
7 criteria we'll be discussing.

8 DR. ROSS: No, I appreciate that
9 clarification. I did just count them up and we
10 are voting on 18 and there are 19 listed in
11 Table 5 and I know we are not voting on S, so I
12 do believe we're voting on all of them except
13 for the very specific code, authorized code
14 under which the criteria have to be, so thank
15 you.

16 DR. CANOS: Thank you.

17 DR. ROSS: Mr. Kremer?

18 MR. KREMER: Joe, were you ready for
19 overarching comments or are there any other
20 specific questions you want to entertain first?

21 DR. ROSS: I think we're actually
22 about ready to transition, actually start
23 getting through the specific criteria one by
24 one. I would, if anyone on the committee has
25 any sort of overarching thoughts that they want

1 to issue kind of before we get started, now is
2 a great time. Do you have any?

3 MR. KREMER: I sure do. Okay. So I
4 will just acknowledge, as for I'm sure many of
5 us, this is deeply personal because it's real,
6 this is not, as we all understand, an academic
7 exercise, a set of philosophical discussion,
8 this is about how this gets operationalized for
9 Medicare beneficiaries, often who face high
10 burdens of unmet need.

11 So I have taken a little bit of time
12 just to jot down a few thoughts, and I
13 apologize for reading off my screen, but I
14 wrote this down because, and this is part of my
15 extended apology, my voice may break during
16 some of this. My family has been through hell
17 and back with insurance denials in the past
18 that were unjustified, and nothing breaks my
19 heart more than the potential that CMS might
20 intentionally or unintentionally operationalize
21 this and behave like an insurance company,
22 because that doesn't serve beneficiaries the
23 way the law or public policy intends. So I'm
24 just going to read through this and again, I
25 apologize if I just need to catch my breath at

1 any point.

2 We are not voting on what we wish the
3 recommendation said or the concept that they
4 represent, we are voting on what the
5 recommendations actually say, so I would urge
6 all my colleagues to speak our piece as we have
7 been for the last day plus about how we might
8 improve on the language, but when we are
9 casting our votes, I would urge us all to vote
10 for what is actually on the page, not what we
11 wish was on the page, and I will reiterate that
12 context matters.

13 If we believe that CMS uses these
14 tools, these study design requirements
15 appropriately, that should guide us toward
16 giving them authority to tighten the criteria.
17 But if we believe that they are not used
18 appropriately, we should question very
19 carefully whether we want to give them
20 authority or, I shouldn't say give them
21 authority, whether we want to vote in support
22 of the notion that they should tighten these
23 criteria.

24 Next point, and this one I can't
25 stress enough, the law is the law unless and

1 until the law changes. So this cannot be about
2 what authority we would like CMS to have or
3 what authority CMS believes it has. It can
4 only be about what authority CMS does as a
5 matter of law have. So we should not support
6 CMS revising the current CED criteria when
7 there is no statutory or regulatory authority
8 for the CED mechanism. There is authority for
9 the NCD process and I'll address that in a
10 moment, but not for CED as a mechanism. In
11 practice, CMS is using CED to overreach into
12 FDA's congressionally directed authority.
13 CMS's NCD authority is limited to national
14 coverage, national non-coverage and/or
15 deferring to the MACs. That is it.

16 Until Congress changes the law or
17 proper regulatory processes are followed, CMS
18 does not have the authority for any CED
19 mechanism. The questions on today's voting
20 questions are moot if CMS lacks the authority
21 to have a CED mechanism. But if you disagree
22 and somehow believe that CMS has the authority
23 for a CED mechanism, then before voting to
24 support any tightening of the CED criteria, it
25 is essential to evaluate whether CMS is using

1 the CED mechanism responsibly and in the best
2 interests of Medicare beneficiaries.

3 In my view, CMS is explicitly
4 directed -- sorry. CMS has explicitly directed
5 us not to consider that and we ought to ask
6 why. Maybe because as numerous public comments
7 pointed out, CMS is broken, and today's voting
8 questions don't even attempt to fix the real
9 problems. Today's voting questions don't fix
10 CMS prejudging an entire class of drugs before
11 the evidence is even presented to the FDA, much
12 less to CMS. Today's voting questions don't
13 fix CMS's pattern of ignoring formal
14 reconsideration requests, substituting
15 nonexpert judgment for FDA expert judgment,
16 moving the goalposts on CED studies so they
17 drag on for a decade or longer despite strong
18 peer reviewed evidence of substantial clinical
19 benefit, and refusing to identify the specific
20 requirements to meet threshold requirements for
21 a future recreation.

22 In fact, CED creates a circular
23 process. We don't have coverage because we
24 don't have data, but we don't have data because
25 we don't have coverage. Today's voting

1 questions don't prevent CED being used as a
2 classic insurance industry utilization
3 management tool. And Joe, I promise I'm very
4 close to done.

5 If you disagree somehow, if you
6 disagree and somehow are unwilling to predicate
7 consideration of these voting questions on any
8 consideration of how CED is used or misused
9 currently, then I ask you to consider whether a
10 one size fits all system makes any sense.

11 Clearly, CMS is coming after not only
12 accelerated approval but coming after
13 traditional approvals too. Should there be
14 absolutely no distinction in the study criteria
15 based on whether CMS is demanding an RCT, an
16 open-label extension, a broad national registry
17 or something else, should there be no
18 difference based on whether the intended use is
19 on label or off label? Should there be no
20 difference if it's for devices, drugs,
21 biologics, or services? If you disagree and
22 believe a one size fits all approach is
23 perfectly fine, then in conclusion, I ask you
24 to scrutinize each of these voting questions
25 for whether it is precise or vague, whether it

1 gives clarity and predictability to innovators,
2 clinicians, and by far most important, to
3 patients facing serious and life-threatening
4 diseases and disorders. Would each voting
5 question make life better or worse for people
6 with ultra rare conditions, rare conditions,
7 common conditions, or prevalent conditions?

8 Joe, thank you for the time. I'm
9 done.

10 MR. PATEL: Joe, you're muted.

11 DR. ROSS: Oh. Thank you, Mr. Kremer.
12 Mr. Patel, did you also have comments?

13 MR. PATEL: Thank you. So you know,
14 as I said earlier, I think generally the
15 criteria are relatively good. Frankly, J, Q
16 and R, CMS did a really good job, I think, of
17 taking apart existing criteria, of piecing them
18 out, maybe putting some parts with others.
19 They are broad, as I said I earlier, but I
20 think it's necessary in a broader policy
21 context, because of the dangers of specificity.
22 I think the key, frankly, will be how the
23 criteria are implemented, right? When the
24 rubber hits the road, how will CMS take the
25 broad general criteria and apply that to the

1 specific technology and critical therapeutic
2 area, the populations that they're talking
3 about.

4 And so you know, for example, will we
5 see more CED studies that are similar to the
6 ongoing study for leadless pacemakers? You
7 know, the FDA, as Dr. Canos pointed out, I
8 think they use the historical competitors from
9 what I understand and, CMS augmented postmarket
10 study requirements with claims data to carry
11 out that CED study. So I think if CMS moves
12 more in that direction, I think there's, you
13 know, positive things for the beneficiaries,
14 and the program overall.

15 And as I said earlier, I think you
16 know, again a little bit out of scope, but just
17 make sure, you know, hopefully CMS will make
18 sure with each study a sentence, two sentences,
19 something that gives a sense of their rationale
20 for why a study met each of the criteria. I
21 think that would be very helpful but overall, I
22 think they've done a good job and hopefully it
23 bodes well for more CEDs, NCDs coming down the
24 line, versus beneficiaries not having access to
25 this technology, because it's more difficult to

1 collect data, frankly, when there is no
2 coverage in the first place, so thank you.

3 DR. ROSS: Thank you, Mr. Patel.
4 Dr. Stearns?

5 DR. STEARNS: I just want to state a
6 note that I hope that the criteria that we end
7 up voting on will enable CMS to improve the
8 process. I think we would all agree that there
9 is evidence that the process has not been, has
10 had problems in the past, so I appreciate the
11 coal of this committee.

12 With respect to a one size fits all, I
13 actually, things change over time, I appreciate
14 that these criteria are specified broadly. I
15 will have specific comments on at least one of
16 the criteria where I think some distinction by
17 type of intervention may be appropriate, but
18 overall I think the criteria as a group are
19 good. Thank you.

20 DR. ROSS: Thank you, Dr. Stearns.
21 Dr. Canos?

22 DR. CANOS: I think the most recent
23 words on, and then the thoughtful approach to,
24 on how these criteria are applied and think
25 about innovation are really spot on, very much

1 valued. You know, the old research model of
2 clinical studies and, that were returning
3 slower answers to questions and not providing
4 the innovation is certainly not working, and
5 clearly we see from the charge that we have
6 today that CMS wants to think about ways to
7 make more timely decisions be innovative,
8 leverage evidence from clinical experience and
9 provide, you know, meaningful information on
10 Medicare beneficiaries in a timely fashion
11 while providing that timely access to the
12 therapies.

13 I think, you know, the comments we've
14 heard today from the panel really are looking
15 to provide that clarity on requirements while
16 removing the incentives to development and
17 keeping pace with the innovation. Really, you
18 know, as I mentioned before, I think about the
19 unpredictable and rational driver for
20 development, and balancing out the race to
21 perfection with the importance of timely and
22 relevant outcomes and information for
23 beneficiaries.

24 So you know, Mr. Kremer, I really
25 appreciate your comments as well as Mr. Patel,

1 spot on as far as, you know, what our charge
2 has been today, and some of this spirited
3 discussion during the panel today.

4 DR. ROSS: Thank you, Dr. Canos.
5 Dr. Dhruva?

6 DR. DHRUVA: Thanks, Dr. Ross. I'd
7 like to echo, I've really enjoyed the
8 discussion with our panel here this morning.
9 I'd like to echo Dr. Canos' and Dr. Patel's
10 comment. I think from what I've seen in my
11 field of cardiology directly taking care of
12 patients is that we've seen patients get access
13 to novel therapies as a result of coverage with
14 evidence development and that's helped me as a
15 practicing cardiologist understand the benefits
16 and risks better, and while also having,
17 ensuring that patients have access to novel
18 therapies, and we've seen a lot of evidence
19 generated.

20 I think that one of the comments that
21 I want to make is about milestones. We heard a
22 lot yesterday about CED meeting milestones and
23 timely completion of the CED process. What
24 I've seen is that we learn a lot through the
25 CED process, we learn a lot about outcomes that

1 matter to patients in diverse patient
2 populations who are indeed Medicare
3 beneficiaries who receive the CED mechanism and
4 sometimes we learn that there are harms that
5 are unexpected. As I mentioned yesterday in
6 the left atrial appended occlusion CED, we
7 learned that women have a much higher rate of
8 inhospitable adverse events when they receive
9 LAAO, and that led to an FDA Dear Healthcare
10 Provider letter that was released after a study
11 as a result of the national determination.

12 So this evidence that's essential to
13 helping inform risks and benefits, that's
14 essential to helping provide access and helping
15 to inform risks and benefits, helping to ensure
16 that patients are receiving safe care, I think
17 is great and I commend CMS on taking this on
18 and looking for ways to strengthen CEDs so that
19 patients are getting access to novel innovative
20 therapies and ensuring that Medicare
21 beneficiaries are going to benefit and have net
22 clinical benefit. Thank you.

23 DR. ROSS: Dr. Mora.

24 DR. MORA: Good morning, thank you.

25 Yeah, I wanted to just reiterate this does feel

1 very personal to I'm sure all of us, as well as
2 to Medicare beneficiaries. I'm not sure I
3 choose to believe that this represents a
4 tightening of the criteria. I see this as an
5 important step, and the ability for me in a
6 room of patients, and for our system, to have a
7 better discussion about risk, benefits and
8 uncertainties of therapy, which I think is a
9 concrete outcome of this effort. So I see this
10 as an improvement and a step forward in
11 expediting the beneficiary access to new
12 treatments. It's putting in place protections
13 for these risks and helps us understand better
14 the use of therapies, so thank you.

15 DR. ROSS: Mr. Kremer?

16 MR. KREMER: I'll say much more
17 briefly than my last statement. I'm a huge
18 supporter, I don't know anyone who isn't a huge
19 supporter of postmarket studies. The question
20 is, under what legal authority and who bears
21 the responsibility for conducting those
22 studies, paying for those studies, reviewing
23 those studies, and whether those studies are
24 used as a method of delaying access for
25 Medicare beneficiaries in need who often have

1 no viable alternative, or whether they are used
2 as a tool to facilitate earlier access.

3 So conceptually, apart from the issues
4 of legal authority, conceptually, sure, I think
5 it's great and fine that you generate
6 additional evidence beyond what the FDA reviews
7 to rate, but it's, the process matters and the
8 criteria matter, and the legal standards
9 matter, and the timing matters and the
10 rationale matters.

11 And this may benefit, this structure
12 that CMS has set up, with or without
13 appropriate legal authority, may work much
14 better in one domain than it works in another.
15 I hear what people are saying about devices,
16 and I will tell you the experience, at least
17 from my community, has been radically different
18 on drugs. That's not to say I endorse the
19 status quo of CED used by CMS for devices, it
20 may be a good outcome achieved through the
21 wrong means. So let's get to the right means.
22 Let's get proper legal authority, statutory and
23 regulatory, before we embark on something that
24 some may find useful and may in fact be useful.
25 But we aren't there right now. That's

1 my point.

2 DR. ROSS: Thanks. And Dr. Ogunwobi,
3 you're going to close sort of our big picture
4 comments please.

5 DR. OGUNWOBI: Sure. Thank you for
6 giving me the opportunity to make one more
7 comment. It will be a brief comment and it
8 will be directed at, I think it was number J,
9 when Dr. Jodi Segal presented, and it's for
10 diversity and inclusion, and I think it is very
11 essential.

12 I would like to strongly encourage CMS
13 to think about, you know, framing that in a way
14 that really ensures that it accomplishes the
15 goal rather than just be a pro forma or
16 perfunctory think that's listed, and the way to
17 do that is to, you know, specify, you know, the
18 need to have adequate sample size for those
19 diverse groups and those groups that need to be
20 included, and to specify the appropriate
21 metrics that need to be met in order to insure
22 that, you know, folks who are doing the studies
23 aren't just including one or two, and that the
24 adequate evidence is not provided that would
25 diminish disparities rather than expand them.

1 DR. ROSS: Thank you. Just before,
2 we're going to take a break in a moment just to
3 get the voting system set up.

4 I do just want to take a moment to
5 note, primarily for the larger audience, all of
6 these comments which are being recorded, there
7 will be a public transcript, or publicly
8 available transcript, or a transcript made
9 publicly available.

10 I do want to note, you're probably
11 hearing discordance or just disagreements among
12 the advisory committee, and that's deliberate.
13 You know, when we're convening, the goal is to
14 bring together different points of view, and
15 our goal is not consensus, and you'll hear that
16 on the voting. The goal is not what we all
17 necessarily vote the same way, but the purpose
18 is to elicit different points of view for CMS
19 to take into consideration as it makes its
20 policy. So as a group we are not trying to
21 achieve consensus, we're not trying to convince
22 one another. Often when we make public
23 comment, we're making out comments publicly so
24 that CMS hears us as advisors in our
25 recommendations, and I just want to make that

1 clear.

2 So Tara, should we take five minutes
3 and come back at 11:30 eastern, is that the
4 goal?

5 MS. HALL: Yes.

6 DR. ROSS: Okay, so people who need to
7 run to the restroom and then get back on, we
8 will be back in five minutes.

9 (Recess.)

10 DR. ROSS: Can I just ask, has every
11 committee member logged on to the system?

12 DR. FLANNERY: Not yet.

13 DR. ROSS: Okay.

14 DR. FLANNERY: Where is the link? I
15 can't find the link. Which email was it in?

16 DR. ROSS: Tara will re-email you
17 momentarily.

18 DR. FLANNERY: Oh, okay.

19 DR. ROSS: Don't start voting
20 prematurely.

21 (Discussion between members and staff
22 regarding connections.)

23 DR. ROSS: And I apologize to the
24 audience as we work out this technical issue.

25 Tara, good. I was going to say there

1 was something messy about this screen. Tara,
2 does the voting screen have to be live since
3 individuals are going to be asked to say their
4 votes and explain it, just so we can continue
5 to see each other on the grid?

6 MS. HALL: We typically have this
7 screen for the audience to see it.

8 DR. ROSS: Okay. Has every committee
9 member who needs to vote using the online
10 voting system been able to log on?

11 DR. FLANNERY: I have not received the
12 link.

13 DR. ROSS: Tara, can you provide the
14 link to Dr. Flannery?

15 MS. HALL: If you look in the chat,
16 you can see it. Dr. Flannery, do you want me
17 to send you an email?

18 DR. FLANNERY: No, no, I found the
19 chat. Thank you.

20 DR. ROSS: Just while Dr. Flannery is
21 figuring that out, just to make sure, I'm
22 sorry, but I'm going to go one by one just to
23 make sure everyone is on the voting system.

24 Dr. Dhruva, are you on?

25 DR. DHRUVA: Yes, thank you.

1 DR. ROSS: Dr. Fisch?

2 DR. FISCH: Yes.

3 DR. ROSS: Dr. Ford?

4 DR. FORD: Yes.

5 DR. ROSS: Dr. Kanter?

6 DR. KANTER: Yes.

7 DR. ROSS: Dr. Maddox?

8 DR. MADDOX: Yep.

9 DR. ROSS: Dr. Mora?

10 DR. MORA: Yes, I am.

11 DR. ROSS: Okay. Dr. Ogunwobi?

12 DR. OGUNWOBI: Yes.

13 DR. ROSS: Dr. Stearns? Do we have
14 Dr. Stearns.

15 DR. STEARNS: No, I am on. By the
16 way, I got kicked off shortly before the break,
17 but I should be stable, and I'm on the voting
18 system.

19 DR. ROSS: Okay, thank you.
20 Dr. Whitney?

21 DR. WHITNEY: Yes.

22 DR. ROSS: Dr. Riddle?

23 DR. RIDDLE: Yes.

24 DR. ROSS: And Mr. Kremer? Did you
25 say yes?

1 MR. KREMER: Yes.

2 DR. ROSS: Okay, because now I can't
3 see everyone. Very good.

4 MS. HALL: Hi, this is Tara. Please
5 do not vote until Dr. Ross asks you to vote.

6 DR. ROSS: Yeah, if people clicked on
7 something, you will be able to change it in a
8 moment.

9 So we're now going to move to the
10 voting portion and we'll probably go until
11 12:15, so we'll see how many we can get through
12 in that time. We're going to go one by one,
13 question by question and again, what I'm going
14 to do is I'm going to read the current CED
15 version from 204 and then I'm going to read the
16 proposed new criteria that came from the AHRQ
17 record, I'm going to ask you to rank the
18 following, that criteria as zero, not
19 important; one, important; or two, essential.
20 I'll give everyone a moment to tally their vote
21 using the online system. When we have a total
22 of 12 I will then turn to everyone individually
23 one by one to ask them their vote and their
24 rationale behind it. Okay? So we have 18
25 criteria to walk through.

1 So the first criteria for us is
2 related to the sponsor, the earlier version of
3 the criteria was, the study is sponsored by an
4 organization or individual capable of
5 completing it successfully. The proposed
6 criteria is, the study is conducted by
7 sponsors/investigators with the resources and
8 skills to complete it successfully. Please
9 vote whether this newly proposed criteria is
10 not important, important or essential.

11 (The panel voted and votes were
12 recorded by staff.)

13 Great. That puts us at 12 votes. Dr.
14 Dhruva, how did you vote?

15 DR. DHRUVA: I voted two, and I think
16 that there's an opportunity to strengthen this
17 criteria because I think the goal is for the
18 sponsors to bring the resources, whereas the
19 investigators bring the skills.

20 DR. ROSS: Dr. Fisch, how did you
21 vote?

22 DR. FISCH: I voted two that this is
23 essential, and I think it could be strengthened
24 by specifying that the study is conducted by
25 sponsors inclusive of their chosen

1 investigators.

2 DR. ROSS: Dr. Flannery, how did you
3 vote?

4 DR. FLANNERY: Two, it's essential,
5 and I agree with the foregoing comments from my
6 co-members.

7 DR. ROSS: Okay. Dr. Ford, how did
8 you vote?

9 DR. FORD: I voted two, that the
10 revised language is essential, and I feel that
11 having resources and skills are more specific
12 and would get to better results.

13 DR. ROSS: Dr. Kanter?

14 DR. KANTER: I voted two, essential.
15 I understand the distinction between sponsors
16 and investigators, and the differential timing.
17 I think the phrasing gives CMS scope to
18 identify the individual resources and skills
19 that are needed from both parties.

20 DR. ROSS: Dr. Maddox?

21 DR. MADDOX: I voted two, essential,
22 and actually appreciate the vagueness of the
23 language, because I think the combination of
24 sponsors and investigators, industry and
25 foundation or other sponsorship could vary, and

1 so actually I appreciate the vagueness of
2 sponsor and investigator roles in this one.

3 DR. ROSS: Dr. Mora, how did you vote?

4 DR. MORA: I voted two. I think this
5 is consistent with the goals of determining
6 reasonable and necessary services.

7 DR. ROSS: Dr. Ogunwobi, how did you
8 vote?

9 DR. OGUNWOBI: I voted two because I
10 agree that this is essential.

11 DR. ROSS: Dr. Stearns, how did you
12 vote?

13 DR. STEARNS: I voted two and I agree
14 with the comments, including that the
15 flexibility in terms of sponsors or
16 investigators is important.

17 DR. ROSS: Dr. Whitney, how did you
18 vote?

19 DR. WHITNEY: I voted zero. I think
20 it's unnecessarily specific, that any sponsor
21 or investigator would meet this criteria who
22 could meet any or all of the other criteria,
23 would de facto meet this.

24 And I'd make a general comment that I
25 think the term sponsor/investigator could

1 probably be removed from every criteria where
2 it's present; it's unnecessary specificity.

3 DR. ROSS: Dr. Riddle, how did you
4 vote?

5 DR. RIDDLE: I voted one, along the
6 lines of actually the comments that Dr. Whitney
7 just made; this is important but the
8 sponsor/investigator leaves perhaps unnecessary
9 ambiguity, and I don't know necessarily adds to
10 the context of the recommendation.

11 DR. ROSS: Mr. Kremer, how did you
12 vote?

13 MR. KREMER: It will come as a shock
14 to no one, I voted zero for the reasons I
15 articulated above and will not repeat on each
16 of the 18 questions, but that's context for me.
17 I will just say in regard to this particular
18 question, I appreciate Dr. Whitney's point
19 about reference to sponsors and investigators.
20 I think for any study, that's who we would be
21 talking about, and it's constructive to talk
22 about studies being conducted with the right
23 resources and skills, so I would just associate
24 myself with the comments of other panelists
25 about how to perhaps strengthen and clarify

1 some of the details.

2 DR. ROSS: Mr. Patel, how would you
3 have voted?

4 MR. PATEL: I would have voted
5 probably one along the lines of what
6 Dr. Whitney said. I do agree with both
7 Dr. Kanter and Maddox about the general nature
8 of sponsors and investigators. Many sponsors,
9 in fact, do have the skills necessary to
10 complete studies and you know, there may be
11 some studies in the future of particular
12 real-world evidence where the sponsor and the
13 investigators are one in the same, and so I
14 like the fact that it mentions both without
15 providing resources or skills to one role or
16 the other.

17 DR. ROSS: Dr. Canos, how would you
18 have voted?

19 DR. CANOS: I would have voted one,
20 important, consistent with the others that have
21 voted in the one category or would have voted
22 in the one category. The evaluation itself of
23 the resources for completion is, it does lack
24 clarity in my perspective, and I certainly do
25 think there's the importance of appropriate

1 skills and, credentialing to conduct a study,
2 but resources certainly leaves a bit to be
3 desired as far as what we need.

4 DR. ROSS: Dr. Umscheid, how would you
5 have voted?

6 DR. UMSCHIED: I would have voted two.
7 I think resources and skills are both
8 essential.

9 DR. ROSS: And Dr. Hodes, how would
10 you have voted?

11 DR. HODES: I would have voted two in
12 the setting of this important criteria, to make
13 sure the study is carried out by agencies,
14 sponsors, investigators best able to determine
15 risk benefit, which is the goal of serving this
16 overall mission. I think that the greatest
17 specificity applied here, with the residual
18 ambiguity, is a good balance.

19 DR. ROSS: Great, thank you for your
20 votes.

21 We're going to move to question two,
22 or criteria two. This vote relates to this
23 theme of communication; there was no existing
24 criteria in version 2014 of the CED
25 requirements. The proposed criteria is, a

1 written plan describes the schedule for
2 completion of key study milestones to ensure
3 timely completion of the CED process. Please
4 cast your votes.

5 (The panel voted and votes were
6 recorded by staff.)

7 Great, thank you, all the votes are
8 in. Dr. Dhruva, how did you vote?

9 DR. DHRUVA: I voted a one. I think
10 this is important but not essential because I
11 think there may be updates as we heard
12 yesterday from Dr. Brindis as technologies
13 evolve, as new evidence of benefits and harms
14 emerges, and that CMS will need additional
15 flexibility as a CED process continues.

16 DR. ROSS: Dr. Fisch?

17 DR. FISCH: I voted two, that this is
18 essential, and I was really influenced by the
19 public comments yesterday and the panelists'
20 discussion about milestones. On one hand there
21 was quite a lot of concern about the data
22 collection burdens dragging on and this being
23 sort of endless, and the desire for milestones
24 in a way to bring it to completion.

25 On the other hand, as Dr. Dhruva

1 pointed out, you know, sometimes long-term data
2 collection monitoring of late effects, late
3 toxicities is important, and so there has to be
4 some balance struck, and I think that
5 Dr. Maddox's point about the pace of accrual in
6 the data collection influencing the
7 interpretation of comparisons is important and
8 could be incorporated into this notion of
9 milestones, and I think milestones can be
10 negotiated and adjusted in the face of some of
11 these findings so I think it could be flexible,
12 but I don't think it needs to be strengthened
13 in any way, I thought it was essential as is.

14 DR. ROSS: Dr. Flannery, how did you
15 vote?

16 DR. FLANNERY: I voted two, essential.
17 I think the kind reactive comments that were
18 made about the milestones and timetables need
19 to apply to not only investigators but also to
20 a then timely response to when the study is
21 presented back to CMS.

22 DR. ROSS: Dr. Ford, how did you vote?

23 DR. FORD: I felt the matter was
24 essential so I gave it a two, and my comments
25 are consistent with the comments of Dr. Fisch,

1 especially as relates to the public comments
2 that were made yesterday regarding timely
3 completion of data for this process.

4 DR. ROSS: Dr. Kanter, how did you
5 vote?

6 DR. KANTER: I voted two, essential.
7 It's clear that a timeline is very important
8 for resolving uncertainty for multiple parties,
9 so it's crucial for having CED be effective.

10 I might add, the revision of periodic
11 updates to be determined by CMS or perhaps even
12 specified here, every two years, every five
13 years, I think that was being proposed, but to
14 incorporate the possibility, in fact possibly
15 the requirement of updates.

16 DR. ROSS: Dr. Maddox, how did you
17 vote?

18 DR. MADDOX: I voted essential. I
19 think this is just part of good study etiquette
20 and hygiene, and I think the public
21 accountability of having a timeline,
22 particularly for beneficiaries awaiting these
23 sorts of data is just good practice.

24 DR. ROSS: Dr. Mora, how did you vote?

25 DR. MORA: Yeah, I voted essential

1 too, and I agree with comments, I feel like in
2 terms of methods, timeliness and milestones are
3 important components to that. Thanks.

4 DR. ROSS: Dr. Ogunwobi, how did you
5 vote?

6 DR. OGUNWOBI: Yeah, I also voted two.
7 I certainly agree that there needs to be a
8 schedule; I do think it needs to be flexible
9 and a lot of it driven by these with the skills
10 and expertise to determine what would be
11 considered a reasonable and flexible schedule.
12 My vote of two was driven largely also by the
13 comments, the public comments yesterday. We
14 don't want endless studies, we want these
15 studies to have a definite end.

16 DR. ROSS: Dr. Stearns, how did you
17 vote?

18 DR. STEARNS: I voted two for
19 essential. I have a comment and this pertains
20 to the fact that I think the criterion may not
21 be a one size fits all. My comment is that
22 appropriate milestones may vary by the type of
23 treatment or exposure being considered. Some
24 standardization by CMS of the types of
25 milestones appropriate by type of treatment,

1 for example pharmaceutical products versus
2 medical devices may be beneficial. I also want
3 to note that adjustment to milestones over time
4 may be needed, but should be done in a
5 transparent manner.

6 DR. ROSS: Dr. Whitney, how did you
7 vote?

8 DR. WHITNEY: I voted two. I think
9 that as stated by others, it's an essential
10 component of a good study, and it may help with
11 the, avoiding endless or protracted CED
12 periods.

13 DR. ROSS: Dr. Riddle, how did you
14 vote?

15 DR. RIDDLE: I voted two, essential.
16 I echo the comments I believe Dr. Kanter made a
17 few speakers ago about the need for studies
18 with specific contextual check-in points as
19 opposed to just a prior laying out milestones,
20 but there may be individual CED determinations
21 that require more frequent or different
22 check-in points. I think it's important to
23 mandate that on the front end but not prescribe
24 it specifically, because what's appropriate for
25 one device, one drug, whatever, may be very

1 different than what's appropriate for another.

2 DR. ROSS: Mr. Kremer, how did you
3 vote?

4 MR. KREMER: I voted zero for the
5 reasons that I identified earlier. I will just
6 for context, because we've been told that the
7 comments we give matter a lot more than the
8 particular number of a vote, I would agree with
9 almost everything I've heard from my colleagues
10 regarding this element, but I would again ask
11 us to think about it in context. We all agree,
12 we don't want endless studies, we all agree
13 there ought to be incentives for sponsors or
14 investigators to conduct as reasonably
15 expeditious studies as possible, and have them
16 be robust and really give predictability to not
17 only payers, but more important to the Medicare
18 beneficiaries and other patients.

19 With that said, these are one-sided
20 requirements and so part of the context for me
21 is this creates requirements that it's -- let's
22 not fool ourselves. This is not a real
23 negotiation, this is CMS telling investigators
24 or sponsors what will be required to
25 potentially get out of a CED eventually. And

1 so what I would have liked to have seen is
2 context in these recommendations.

3 Joe, I'm wrapping up and I'll be very
4 brief here. I really needed to see here
5 something that completes the circle for
6 Medicare beneficiaries, which is some
7 predictability, not only about when the study
8 will be completed and concluded in a way that
9 produces meaningful evidence of risk and
10 benefit and other factors, but also when CMS
11 will be required to act on that information,
12 not predetermine an outcome for a coverage
13 determination, but take up a meaningful formal
14 reconsideration process. Without that, you're
15 just asking sponsors, investigators and more
16 important, study subjects to engage in a
17 process that has no guaranteed end because CMS
18 is not under any requirement to complete its
19 end of the bargain because they are not
20 required to actually engage in a bargain.

21 DR. ROSS: Mr. Patel, how would you
22 have voted?

23 MR. PATEL: I would have voted two. I
24 agree with the comments of Dr. Fisch,
25 Dr. Kanter, Dr. Riddle. You know, I -- there

1 have been mention of new technologies evolving,
2 et cetera, and potentially the need to study
3 those as well, some of the challenges. Again,
4 I would leave it to CMS and the sponsors to
5 decide in what context it may be relevant to
6 pull those next generation in, versus starting
7 new studies. I like the general nature of
8 this, let CMS decide and, calendar-wise, how
9 long in frequency updates, et cetera, so I
10 would have voted two.

11 DR. ROSS: Dr. Canos, how would you
12 have voted?

13 DR. CANOS: I would have voted two
14 consistent with the aptly stated comments from
15 Dr. Stearns and Maddox.

16 DR. ROSS: Dr. Umscheid, how would you
17 have voted?

18 DR. UMSCHEID: I would have voted two.
19 I think this is an important new addition, this
20 theme of communication is absolutely critical,
21 and I think as much as a schedule of milestones
22 can promote communication between CMS and
23 sponsors/investigators to complete CED
24 decisions in a timely fashion, I think it's a
25 win-win.

1 DR. ROSS: Dr. Hodes, how would you
2 have voted?

3 DR. HODES: I also would have voted
4 two for those reasons stated. I think it's
5 critical establishing the milestones,
6 communicating them to set on course the most
7 expeditious completion of trials. I think
8 implicit is the notion that they are subject to
9 revision. With that understanding, I'm
10 enthusiastically essential on this one.

11 DR. ROSS: Thank you for your votes.
12 We're going to move on to the third item, which
13 pertains to governance, and for which there was
14 no existing requirement in the 2014 CED
15 requirements. The proposed criterion is, the
16 protocol describes the information governance
17 and data security provisions that have been
18 established. Please cast your votes.

19 (The panel voted and votes were
20 recorded by staff.)

21 Thank you for voting, I see everyone's
22 cast their ballot. Dr. Dhruva, how did you
23 vote?

24 DR. DHRUVA: I voted a two, because I
25 think that governance and data security are

1 essential, especially as more studies start to
2 leverage more real-world data.

3 DR. ROSS: Dr. Fisch, how did you
4 vote?

5 DR. FISCH: I voted two. This is
6 essential for the same reasons as stated.

7 DR. ROSS: Dr. Flannery, how did you
8 vote?

9 DR. FLANNERY: I voted two, essential.
10 I think it speaks for itself.

11 DR. ROSS: Dr. Ford, how did you vote?

12 DR. FORD: I also voted two based on
13 the reasons that were already reported.

14 DR. ROSS: Dr. Kanter, how did you
15 vote?

16 DR. KANTER: I voted two, essential.
17 I appreciate the attention to this issue. I
18 might add that we could include data privacy,
19 which as discussed earlier, the inclusion of
20 HIPPA in a later criterion covers providers and
21 their business associates, but may not cover
22 the sponsors or investigators, so we would want
23 to include that responsibility as part of their
24 purview.

25 DR. ROSS: Dr. Maddox, how did you

1 vote?

2 DR. MADDOX: I voted two, essential.
3 I think data security is nonnegotiable, and I
4 appreciate the prior comment about privacy as
5 well.

6 DR. ROSS: Dr. Mora, how did you vote?

7 DR. MORA: Yeah, I voted two,
8 essential. I think this is absolutely
9 foundational for developing and maintaining
10 trust.

11 DR. ROSS: Dr. Ogunwobi, how did you
12 vote?

13 DR. OGUNWOBI: I voted two for all of
14 the reasons articulated by others.

15 DR. ROSS: Dr. Stearns, how did you
16 vote?

17 DR. STEARNS: I voted two, essential,
18 once again for all the reasons articulated by
19 others.

20 DR. ROSS: Dr. Whitney, how did you
21 vote?

22 DR. WHITNEY: I voted one, I think
23 it's very important, but I also think it's
24 generally required for any study to get to an
25 IRB, so I don't know if it's necessary to be

1 included in the CMS requirements.

2 DR. ROSS: Dr. Riddle, how did you
3 vote?

4 DR. RIDDLE: I voted one as well.
5 Dr. Whitney said exactly what I was going to
6 say.

7 DR. ROSS: Dr., or Mr. Kremer, how did
8 you vote?

9 MR. KREMER: Thanks for almost
10 promoting me. I would associate myself with
11 the comments of Dr. Whitney and Dr. Riddle, but
12 if I were going to vote anything other than
13 zero, but of course I voted zero for reasons
14 stated before, I probably would have voted one.
15 Please do not take that as a vote of one, my
16 vote is zero, but I will also associate myself
17 with the remarks from Dr. Kanter. Good studies
18 are good studies, good study design is good
19 study design, and in endorsing what Dr. Kanter
20 said, I would have liked to have seen this
21 worded a little differently because I think --
22 well, she articulated it, but we could do
23 better and the way it is worded is not ideal,
24 so that would have also pushed me to one if I
25 were not committed to voting zero.

1 DR. ROSS: Mr. Patel, how would you
2 have voted?

3 MR. PATEL: I would have voted two for
4 optics, because as Dr. Riddle and Dr. Whitney
5 said, these are basic requirements for clinical
6 studies, et cetera, they are required
7 elsewhere, but I think it increases confidence
8 in the data CMS is collecting and will
9 eventually distribute. I think it's important
10 for CMS to check the box.

11 DR. ROSS: Dr. Canos, how would you
12 have voted?

13 DR. CANOS: So again, my vote, it's a
14 little complex here. I don't exactly concur
15 with the pretext of no existing requirement
16 here. You know, as you heard me mention during
17 the discussion this morning, you know, a
18 portion that we're not voting on is
19 requirement S, where there is this dimension of
20 45 CFR Part 46 as well as CFR 56, where
21 adequate provisions to protect the privacy of
22 subjects and maintain the confidentiality of
23 the data is in place, and so the no distinct
24 requirement is confusing to me there. I do
25 believe these are important, but it's unclear

1 to me what this is providing above and beyond
2 the requirement upon which no one is voting
3 today.

4 DR. ROSS: Dr. Umscheid, how would you
5 have voted?

6 DR. UMSCHIED: I would have voted two.
7 I think it's essential to secure data that is
8 being collected, particularly in the course of
9 care for patients, and I think patients would
10 consider that security essential. But I think
11 it's also broad enough that it allows
12 flexibility.

13 DR. ROSS: Dr. Hodes, how would you
14 have voted?

15 DR. HODES: I would have voted two. I
16 think the only question on that is whether
17 information governance is clearly enough
18 presented to allow an understanding of just
19 what is needed. A data security provision is
20 much more straightforward, I think.

21 DR. ROSS: Okay, thank you for all
22 your votes. We're going to move to the fourth
23 criteria on which we're voting today. This
24 criteria would encompass two criteria in
25 version 2014 of the CED requirements, the

1 rationale for the study is well supported by
2 available scientific and medical evidence, and
3 the study results are not anticipated to
4 unjustifiably duplicate existing knowledge.
5 The proposed criteria is, the rationale for the
6 study is supported by scientific evidence and
7 study results are expected to fill the
8 specified knowledge gap and provide evidence of
9 net benefit. Please cast your votes.

10 (The panel voted and votes were
11 recorded by staff.)

12 Okay. All votes have been cast.
13 Dr. Drhuva, how did you vote?

14 DR. DHRUVA: Thank you, sir. I voted
15 a two. I think that these are essential. My
16 only suggestion is that with regards to the
17 specified knowledge gap, sometimes we learn
18 more and sometimes additional knowledge gaps
19 emerge, such as updated technology in long-term
20 data, and I would just like to see that there
21 is still sufficient flexibility if additional
22 knowledge gaps need to be closed.

23 DR. ROSS: Dr. Fisch, how did you
24 vote?

25 DR. FISCH: I voted two, that this is

1 essential also. I think it might be
2 strengthened by being specific that it refers
3 to providing evidence of person-centered
4 benefit for Medicare beneficiaries. We talked
5 about net benefit and I think we had a good
6 understanding from Dr. Segal about what that
7 meant, but sometimes people think about
8 benefits to science and benefits to innovation,
9 benefits to other things, and so at least the
10 way I'm thinking about this vote, it's a
11 person-centered benefit.

12 DR. ROSS: Dr. Flannery, how did you
13 vote?

14 DR. FLANNERY: I voted two, essential
15 as well. I agree that some better definition
16 of benefits would be valuable since it could be
17 construed as not necessarily just patient
18 centered as was mentioned there.

19 DR. ROSS: Dr. Ford, how did you vote?

20 DR. FORD: I voted two, that it is
21 essential. And I also agree that the notion of
22 net benefit could use some additional clarity,
23 and should have a focus on benefits for the
24 patients. So I think that's additional
25 information that may need to be looked at in

1 terms of defining what net benefit actually is
2 for this particular statement.

3 DR. ROSS: Dr. Kanter, how did you
4 vote?

5 DR. KANTER: I voted two, essential.
6 I think these elements, you know, insure that
7 the study has added value and isn't simply a
8 ritual. I concur with Dr. Fisch's suggestion
9 of stipulating further that it is a net benefit
10 to the Medicare beneficiaries.

11 DR. ROSS: Dr. Maddox, how did you
12 vote?

13 DR. MADDOX: I voted two, essential.
14 I concur with the other comments about
15 clarification of net benefit, and as was
16 brought up in some of the prior discussions,
17 potentially including caregivers or family
18 members could be considered in that.

19 DR. ROSS: Dr. Mora, how did you vote?

20 DR. MORA: Thank you. I voted two as
21 well, essential, on the principle that I
22 believe we need to allocate resources and time
23 and energy and leadership to answering
24 important questions that are about Medicare
25 beneficiary clinical outcomes that are of

1 substance and consequence. Thank you.

2 DR. ROSS: Dr. Ogunwobi, how did you
3 vote?

4 DR. OGUNWOBI: I also voted two and I
5 would just add that I agree that the net
6 benefit needs to be specified to be
7 patient-related outcomes.

8 DR. ROSS: Dr. Stearns, how did you
9 vote?

10 DR. STEARNS: I voted two, essential.
11 I will say briefly that personally and off the
12 record, it is a concern that a broader
13 definition of value is not able to be
14 considered. However, on the record, my vote
15 acknowledges that net benefit is defined in
16 terms of benefit to patients and their
17 caregivers. Should consideration of value ever
18 be included in CMS deliberations, I believe
19 that the goal of net benefit would still be
20 important.

21 DR. ROSS: Dr. Whitney, how did you
22 vote?

23 DR. WHITNEY: I voted two, essential.
24 I think that term net benefit speaks for
25 itself, I don't know that it requires any

1 clarification. And I'm not sure, this question
2 is for CMS, of the extent to which non-member,
3 non-patient, non-beneficiary specific
4 considerations are considered in coverage
5 determinations.

6 DR. ROSS: Dr. Riddle, how did you
7 vote?

8 DR. RIDDLE: I voted two as well,
9 essential, and I would echo the comments I
10 believe Dr. Ford made regarding a little bit
11 more clarification around meaning and how CMS
12 was interpreting from this language.

13 DR. ROSS: Mr. Kremer, how did you
14 vote?

15 MR. KREMER: Have your bingo cards
16 ready, I voted zero again, but I am very
17 grateful to everyone on the panel that
18 particularly highlighted person centered being
19 a critical revision to the text here. We don't
20 have revised text, we have the text before us,
21 I'm voting on the text before us, and I think
22 it leaves dangerous leeway for CMS either now
23 or under a future administration that we may
24 not anticipate, wade into the use of things
25 like qualities, which are inherently in my view

1 racist, ablest, sexist and you name it ists.

2 So I don't want to leave that room,
3 and I don't want to vote in 2023 for anything
4 that might be applied down the road taking
5 advantage of the vague language here. So I
6 will join the chorus that's saying this ought
7 to be revised, it hasn't been revised, but it
8 ought to be revised as CMS moves forward to
9 identify that it is person-centered benefit,
10 not any kind of economic analysis or broader
11 societal view of benefit, measuring the needs
12 of some communities against the needs of
13 others.

14 DR. ROSS: Mr. Patel, how would you
15 have voted?

16 MR. PATEL: I would vote two. I think
17 adding something around health outcomes to
18 Medicare beneficiaries is important, I think
19 Doctor -- well, I'm terrible with names, but I
20 think it was mentioned in the discussion that
21 intent was really around health outcomes, not
22 economics.

23 And I agree with the notion of
24 caregivers and I'm going to leave it up to the
25 lawyers at CMS, because that's a tricky

1 situation if you've got a technology or service
2 that only benefits caregivers and their family
3 members and they're not Medicare beneficiaries,
4 so I think adding that concept sounds nice but
5 it may be a little bit tricky, but definitely I
6 think adding some reference around net health
7 outcome benefits to Medicare beneficiaries and,
8 you know, leave it to the lawyers about the
9 families and the caregivers.

10 DR. ROSS: Dr. Canos, how would you
11 have voted?

12 DR. CANOS: I would have voted
13 essential but with the stipulation of
14 consideration of revised wording around net
15 benefit as mentioned from the previous
16 panelists.

17 DR. ROSS: Dr. Umscheid, how would you
18 have voted?

19 DR. UMSCHIED: I would have voted two,
20 essential. I think it retains the important
21 elements of the current CED requirements, that
22 the rationale for the study be supported by
23 scientific evidence and fill a specified gap,
24 which I think is essential.

25 DR. ROSS: And Dr. Hodes, how would

1 you have voted?

2 DR. HODES: I would have voted two,
3 also essential, both on grounds and need, to
4 specify the circumstances in which a study
5 ought to be carried out, but also supportive of
6 further specification in net benefits.

7 DR. ROSS: Than you, everyone, for
8 your votes. I think we can do one more before
9 our lunch break if that's okay with everybody.

10 This is the fifth voting item for the
11 day, also related to the theme of context. The
12 original CED requirement from version 2014
13 stated, the principal purpose of the study is
14 to test whether the item or service
15 meaningfully improves health outcomes of
16 affected beneficiaries who are represented by
17 the enrolled subjects. The proposed criteria,
18 sponsors/investigators establish an evidentiary
19 threshold for the primary outcome so as to
20 demonstrate clinically meaningful differences
21 with sufficient precision. Please vote.

22 (The panel voted and votes were
23 recorded by staff.)

24 Thank you, the votes have been cast,
25 Dr. Dhruva, how did you vote?

1 DR. DHRUVA: I voted two, essential,
2 because I think that this is inherently an
3 essential criteria. I interpreted the
4 clinically meaningful differences to mean
5 improvement in clinical health outcomes.

6 DR. ROSS: Dr. Fisch, how did you
7 vote?

8 DR. FISCH: I voted two, that this is
9 essential also, knowing that clinically
10 meaningful differences are really important.
11 It might be strengthened if there were some way
12 of specifying that it's not just the sponsors
13 and investigators who get to establish that,
14 but it's something that would be negotiated
15 with CMS, that threshold.

16 DR. ROSS: Dr. Flannery, how did you
17 vote?

18 DR. FLANNERY: I voted two, essential.
19 I (break in audio) think it's important and
20 it's not looked at.

21 DR. ROSS: Dr. Ford, how did you vote?

22 DR. FORD: I also voted two as
23 essential. I would comment, though, on the
24 last couple of words, sufficient precision, and
25 I think that maybe that could use a little bit

1 more clarification, it could be interpreted
2 differently by different individuals, but I
3 think that the whole concept is essential.

4 DR. ROSS: Dr. Kanter, how did you
5 vote?

6 DR. KANTER: I voted two, essential.
7 Just reiterating the previous panelists'
8 comments, it's clearly a key objective to
9 improve beneficiaries' health, and so we need
10 it to reflect in there clinically meaningful
11 differences. I'm not so firm about, I think we
12 had some discussion around the fact that
13 there's a threshold, we clearly need some
14 minimum standards, and then can work from
15 there.

16 DR. ROSS: Dr. Maddox, how did you
17 vote?

18 DR. MADDOX: I voted essential, but
19 I'll say I voted essential because I think we
20 need someplace to have clinically meaningful
21 differences, and wasn't totally convinced it
22 was in the last one. And I am concerned about
23 the evidentiary threshold and sufficient
24 precision, because I don't know that there's a
25 one size fits all approach for that, it depends

1 a lot on the patients you're talking about,
2 about the degree to which they have other
3 options, and I would want to be certain that
4 this was not established as a one size fits all
5 across drugs, devices, across all diseases,
6 et cetera. So I don't love the language, but I
7 think having someplace for clinically
8 meaningful differences is important to note.

9 DR. ROSS: Dr. Mora, how did you vote?

10 DR. MORA: Thank you. I voted two, as
11 essential. I consider this an important
12 component of our rigorous methodology.

13 DR. ROSS: Dr. Ogunwobi, how did you
14 vote?

15 DR. OGUNWOBI: I voted two. I
16 particularly like the inclusion of evidentiary
17 threshold, and I think it's a legitimate two.

18 DR. ROSS: Dr. Stearns, how did you
19 vote?

20 DR. STEARNS: I voted two for
21 essential. I feel that the evidentiary
22 threshold could or should be motivated by
23 consideration of groups beyond the sponsors and
24 investigators. I agree also that this is quite
25 likely not a one size fits all criterion and

1 that clinically meaningful differences with
2 sufficient precision are very important.

3 DR. ROSS: Dr. Whitney, how did you
4 vote?

5 DR. WHITNEY: I voted two, essential.
6 Like Dr. Maddox, I don't love the language
7 exactly, I think you could strike
8 sponsors/investigators, others may from time to
9 time establish thresholds. I like very much
10 the intent of this, but I do think the wording
11 needs to be worked on a bit.

12 DR. ROSS: Dr. Riddle, how did you
13 vote?

14 DR. RIDDLE: I voted two as well. I
15 would call out that clinically meaningful is a
16 very good way of phrasing. I think what we're
17 all trying to get at here, this is not simply a
18 statistical difference in something, but that
19 there is actual meaning to the patients and the
20 caregivers that are subject to the outcome.

21 DR. ROSS: Mr. Kremer, how did you
22 vote?

23 MR. KREMER: I voted zero so, for
24 context, again, referencing my long statement
25 before the voting began, but also I wanted to

1 come back to Dr. Maddox's point that this is
2 not workable as a one size fits all and that we
3 need to appreciate the difference between types
4 of items and services. But I would also draw
5 our attention back again to the clinically
6 meaningful phrase, where I think this is
7 insufficiently precise and as a patient
8 advocate I really need the specificity on the
9 record from CMS about what CMS thinks
10 clinically meaningful means.

11 And here's what I mean by that. There
12 is at least in drugs, maybe devices too, but I
13 know a lot less about devices and services,
14 there's a raging misunderstanding of who gets
15 to define clinically meaningful. If you go
16 back to the researcher that coined the term, he
17 means very clearly patients define what is
18 clinically meaningful to them. But what some
19 are misapplying the term to mean is that
20 clinicians and researchers and government
21 agencies get to define for patients what is
22 clinically meaningful, or should be clinically
23 meaningful to patients. And if this weren't a
24 raging issue, at least in the drugs field, I
25 wouldn't feel any need to draw attention to it.

1 But it's there, it's real, it's where
2 the rubber meets the road, and if we let anyone
3 other than patients define for them what is
4 clinically meaningful, then this is dangerous.
5 So if that can be resolved through
6 clarification from CMS I'll feel a whole lot
7 more comfortable, and then reduce my concerns
8 to the one size fits all issue that Dr. Maddox
9 articulated.

10 DR. ROSS: Mr. Patel, how would you
11 have voted?

12 MR. PATEL: I would have voted two. I
13 agree with Dr. Maddox and Mr. Kremer around the
14 context matters, and so maybe adding some
15 verbiage to that effect would be helpful. And
16 I agree with Dr. Fisch around the sponsors and
17 investigators, and CMS's role and this, I
18 think, goes back to the comment I made earlier,
19 I think.

20 Hopefully, CMS will take a look at
21 each of the criteria and clearly articulate
22 who's responsible for what, because if that
23 made any difference, you know, we could read
24 into all the criteria in its totality and say
25 well, all of these are in the protocol, which

1 may be CMS, but if the protocol is what CMS is
2 approving, then implicitly yes, CMS also
3 approves the evidentiary standard, but it's not
4 entirely clear.

5 So I would encourage CMS, not only on
6 this criteria but others, just to make sure
7 it's very clear who's responsible for what, and
8 whether CMS is going to play an active role
9 versus looking at, reading the protocol and
10 agreeing that the protocol meets certain
11 standards.

12 DR. ROSS: Dr. Canos, how would you
13 have voted?

14 DR. CANOS: Yes, so I view it as
15 essential, but when combined with the next
16 question, I know we're not diving into question
17 six yet, but I really don't see how they're
18 evaluated separately. I agree with
19 Mr. Kremer's comments with respect to
20 clinically meaningful differences where
21 definitions in JAMA and otherwise are all over
22 the place. You know, it could be a threshold
23 value pertaining to a change of large or larger
24 as considered meaningful to patients,
25 clinicians or both. A lot of, you know, I

1 think we've heard consistently about the
2 importance of patient preference and
3 involvement in the design and conduct of these
4 studies, and I think clarity around that
5 definition and clarity around involvement of
6 patient preference information in the design
7 and execution of studies is essential.

8 And again, not diving too hard into
9 number six, but I think we heard from Dr. Segal
10 on the criteria that, you know, the intent is
11 to have endpoints that would include those that
12 are important to patients and/or clinically
13 meaningful outcomes. And so really putting the
14 patient first in both question five and six is
15 paramount, I think these are essential, but
16 essential with some important considerations
17 around the wording and definitions of these
18 constructs.

19 DR. ROSS: Dr. Umscheid, how would you
20 have voted?

21 DR. UMSCHIED: I would have voted two
22 as well. I couldn't agree more with Dr. Canos,
23 I think it's really important to have an
24 evidentiary threshold to demonstrate outcome
25 differences and to define that up front, but I

1 do think it's essential to have patients front
2 and center, and I think the next criterion I
3 that we will be speaking about in a moment does
4 that well. So here I might recommend a wording
5 change, something to the effect of to
6 demonstrate outcome differences meaningful to
7 clinicians and patients with sufficient
8 precision or something to that effect, but I do
9 think it's important to have patients front and
10 center when we're talking about meaningful
11 outcome differences.

12 DR. ROSS: Dr. Hodes, how would you
13 have voted?

14 DR. HODES: I too would have voted two
15 as well. Clinically meaningful differences are
16 clearly an important criterion but I resonate
17 with what we just heard, that maybe modifying
18 that just a bit in the wording to indicate that
19 meaningful to those involved, recipients as
20 well as clinicians, would help to clarify it
21 but no matter what, that's going to be a
22 criterion that's going to be difficult to
23 define and much debated and acted upon case by
24 case.

25 DR. ROSS: Thank you for all your

1 votes. So we're going to pause and take a
2 lunch break. We did go five minutes over so
3 we'll extend our lunch break until 12:50 p.m.,
4 so it's a half an hour, and when we return we
5 will continue going through the voting
6 questions.

7 Tara, are there any other
8 announcements before we break? Hearing none --

9 MS. HALL: I'm sorry, I didn't hear
10 you.

11 DR. ROSS: Any announcements before we
12 take a break for lunch, we'll come back at
13 12:50?

14 MS. HALL: You said 12:45 that we're
15 coming back?

16 DR. ROSS: I said 12:50 so people have
17 a full half hour, since we went a little bit
18 over.

19 MS. HALL: Okay.

20 DR. ROSS: Okay, see everyone in half
21 an hour.

22 (Lunch recess.)

23 DR. ROSS: Welcome back. We'll give
24 people a moment to get back and to turn on
25 their cameras.

1 Great, well, welcome back to everybody
2 after lunch, we're going to pick up just where
3 we left off.

4 The next voting question in front of
5 us is also within the theme of outcomes. There
6 was no existing requirement in the 2014 version
7 of the CED requirements. The proposed criteria
8 is, the primary outcomes for the study are
9 clinically meaningful and important to
10 patients. A surrogate outcome that reliably
11 predicts these outcomes may be appropriate for
12 some questions. Please vote.

13 (The panel voted and votes were
14 recorded by staff.)

15 Waiting on two more votes. Is there
16 anyone who is trying to vote and hasn't been
17 able to? Let's see if we can figure out the
18 discrepancy by going around. It looks like
19 we're one vote short of what I anticipated, an
20 N of 12. Dr. Dhruva, how did you vote?

21 DR. DHRUVA: I voted two, essential.
22 I think that these are essential requirements.
23 I think that, a couple comments to make. I
24 think that these clinically meaningful
25 endpoints should consider patient symptom

1 burden, quality of life and functional status,
2 but I think with the line regarding surrogate
3 outcomes, I think that reliably predicts should
4 really be a validated surrogate endpoint.

5 DR. ROSS: Dr. Fisch, how did you
6 vote?

7 DR. FISCH: I voted a two, essential.
8 I'll just observe that this time the reference
9 to clinically meaningful didn't really refer to
10 sponsors/investigators so I like this more
11 generic phrasing of it compared to the prior
12 question. I think it could be strengthened by
13 maybe being more specific about what we mean by
14 to patients, right, so we're not talking about
15 patients with a condition worldwide or across
16 all age groups, but we're talking about
17 Medicare beneficiaries, and I think patients
18 doesn't necessarily have to be completely
19 limited to the subset of those affected by a
20 given condition, so utility or some other
21 measure of preferences could get more broad
22 than just the very very narrow set of let's say
23 individuals affected by a rare disease and how
24 they view the world.

25 DR. ROSS: Dr. Flannery, how did you

1 vote?

2 DR. FLANNERY: I voted two, essential.
3 I'm not a fan or surrogate outcome measures;
4 however, in light of item five, where we have
5 every (break in audio) the occasion in the
6 surrogate outcome could be used.

7 DR. ROSS: Dr. Ford, how did you vote?
8 Dr. Ford, you're on mute.

9 DR. FORD: Sorry about that. I also
10 voted two, essential. I would echo the comment
11 about consider changing patients to Medicare
12 beneficiaries to be more specific for this
13 population.

14 DR. ROSS: Dr. Kanter, how did you
15 vote?

16 DR. KANTER: I voted two, essential.
17 I do think it's an important complement to
18 criterion D with its focus on patients. I
19 might remove the surrogate outcome mentioned,
20 not sure of the need for that at the outset.

21 DR. ROSS: Dr. Maddox, how did you
22 vote?

23 DR. MADDOX: I voted two, essential,
24 and don't have anything to add more than the
25 prior comments.

1 DR. ROSS: Dr. Mora, how did you vote?

2 DR. MORA: I voted two, essential. I
3 think it's a patient-centered requirement. I
4 also like that it acknowledges that we need to
5 be cautious with surrogate or intermediate
6 outcomes, but the earlier points made, that if
7 they are validated, we know there is a direct
8 correlation, I think it makes sense. Thanks.

9 DR. ROSS: Dr. Ogunwobi, how did you
10 vote?

11 DR. OGUNWOBI: I voted two. I think
12 the statement regarding surrogate outcomes
13 being reliable predictors is appropriate.

14 DR. ROSS: I notice Dr. Stearns came
15 off. Is Dr. Stearns back? I wonder if she's
16 have Internet trouble. CMS team, can you just
17 let me know when she comes back?

18 MS. HALL: Yeah, we will do that.

19 DR. ROSS: Thank you. Dr. Whitney,
20 how did you vote?

21 DR. WHITNEY: I voted two, essential.
22 I agree with the prior comments, particularly
23 around the need for surrogate outcomes to be
24 demonstrated to accurately predict the outcome
25 of interest.

1 DR. ROSS: Dr. Riddle, how did you
2 vote?

3 DR. RIDDLE: I voted one. I think
4 this is important although I'm a little bit
5 confused as to whether this statement and the
6 previous statement that we discussed before
7 lunch somehow could make it actually more
8 ambivalent as opposed to clarify in outcomes.
9 Honestly, I know we're not word-smithing, but I
10 would just strike the first sentence and
11 somehow incorporate into the previous statement
12 and then speak to how we wish to examine
13 surrogate outcomes if appropriate for the
14 question or the issue at hand.

15 DR. ROSS: Okay. Mr. Kremer, how did
16 you vote?

17 MR. KREMER: I voted zero. So, again,
18 the explanation I gave in an overarching sense.
19 I'll just say I feel better about this one than
20 I do some of the others. I very much
21 appreciate the explicit reference here to the
22 person-centered point of view and patient
23 preference, which we all understand is
24 enshrined in statute, among other places things
25 like 21st Century Cures. The focus of the

1 federal government as congressionally
2 legislated and signed by the President is on
3 person centeredness and patient preference, and
4 I appreciate this highlighting that, magnifying
5 it, emphasizing it, choose your descriptor, in
6 a way that maybe some of the other voting
7 questions don't, and I do think it's important
8 to retain a reference in any good clinical
9 study design to the importance of surrogate
10 outcomes.

11 I will just close with this, and
12 apologies if I've forgotten an earlier part of
13 our two-day meeting. I'm a little lost as to
14 why we need the and important reference if it's
15 meaningful, but I'm not trying to engage in
16 debate, just noting for the record that I don't
17 recall an explanation of why we needed that
18 additional couple of words.

19 DR. ROSS: Thank you. But before I
20 turn to the nonvoting members, Dr. Stearns, I
21 know you had Internet trouble and you're back
22 on. How did you vote?

23 DR. STEARNS: I'm back on. I'm not
24 positive my vote has registered by the numbers
25 you've got there, or has it? But I voted two,

1 and I did have a brief comment on this. I'm
2 sorry because my Internet went out and I missed
3 some of the things that other people have said.

4 My comment actually comes from one of
5 the comments that was sent to CMS specifically
6 from the Schaffer Center and with respect to
7 thinking about a surrogate outcome. The point
8 that I want to make is that outcomes should be
9 of high importance to the targeted patient
10 populations and their caregivers based on
11 quantitative evidence of the risks and
12 benefits, so I would add that comment, and
13 sorry for the Internet.

14 DR. ROSS: That's no problem and
15 actually after we conclude discussion of our
16 votes, we're going to confirm whose vote did
17 not count, so we'll have to pause for a moment
18 to figure that out.

19 But in the meantime, Mr. Patel, how
20 would you have voted?

21 MR. PATEL: I would have voted two. I
22 agree with Dr. Riddle, maybe combining the
23 concept of clinically meaningful and important
24 to patients could be done in the criteria. I
25 would leave surrogate outcomes because frankly

1 if you take it out, it causes kind of an
2 absence in the future of any measure where
3 surrogate outcomes could apply, that it's not
4 allowed here. You certainly want to make sure
5 that the surrogate outcomes are validated, of
6 course, I think that's what reliably was trying
7 to get at, but if we want to add some more
8 caveats, there are more different outcomes, I
9 think that's a good idea.

10 DR. ROSS: Dr. Canos, how would you
11 have voted?

12 DR. CANOS: I would have voted two,
13 essential. I concur with Dr. Dhruva on the
14 need for them to be validated surrogate
15 outcomes and I also agree with Dr. Riddle for
16 that type C, that requirements five six should
17 be linked for clarity.

18 And to Mr. Kremer's point, you know,
19 and as I stated before lunch, when seeking
20 clarity from Dr. Segal on intent of both
21 important to patients and clinically
22 meaningful, I asked about the union of events
23 versus the intersection, and she said both
24 would be an important outcome to be included.
25 You know, I would propose a change of wording

1 here where we would put the patients first. I
2 would say the primary outcomes of the study
3 are, one, important to patients, and/or two,
4 clinically meaningful, and then from there
5 having the surrogate, validated surrogate
6 outcomes described with the possibility of
7 combining with number five where we talk about
8 precision and needs for precision.

9 DR. ROSS: Dr. Umscheid, how would you
10 have voted?

11 DR. UMSCHIED: Two, essential. I like
12 the focus on outcomes that are important to
13 patients and I think the statement gives
14 flexibility around surrogate outcomes. I think
15 it's nice as written.

16 DR. ROSS: Dr. Hodes, how would you
17 have voted?

18 DR. HODES: I would have voted two.
19 I'm in agreement with both meaningful and
20 important. The patient-centered clinically
21 meaningful outcome aspect and leaving
22 flexibility for surrogates as appropriate, I
23 think is also important.

24 DR. ROSS: Great, thank you all for
25 voting. Tara, let us know when you have been

1 able to figure out which committee member's
2 vote did not register.

3 DR. STEARNS: By the way, I logged out
4 and logged back in to the voting site and it
5 doesn't seem to want to register my vote.

6 DR. ROSS: I think we have a culprit,
7 Dr. Stearns.

8 DR. STEARNS: Yes, sorry, so I suspect
9 I'm the one. I'm hoping when the next vote is
10 taken, it works again.

11 MS. JENSEN: Yes, it's not going to be
12 a problem. We can see it in the back end, it
13 will be on the transcript and we will hand
14 write it in for the score, so no worries.

15 DR. ROSS: So Tamara, I should expect
16 only 11 votes going forward, just to confirm?

17 MS. JENSEN: We'll see if we can work
18 behind the scenes to get her locked back in,
19 but if we can't, it's not a problem.

20 DR. ROSS: Okay, thank you.

21 So we'll turn to the next voting
22 question, which relates to the theme of
23 protocol. This incorporates two prior CED
24 requirements, the study has a written protocol
25 that clearly demonstrates adherence to the

1 standards listed here as Medicare requirements,
2 and the clinical research studies and
3 registries are registered on the
4 www.clinicaltrials.gov website by the principal
5 sponsor/investigator prior to enrollment of the
6 first study subject. Registries are also
7 registered in Agency for Healthcare Quality's
8 Registry of Patient Registries.

9 This has now been modified to the
10 proposed criteria of, the CED study is
11 registered with clinicaltrials.gov and a
12 complete protocol is delivered to CMS.

13 Can we bring the votes back up? Oh,
14 sorry.

15 MR. KREMER: Joe, can I interrupt
16 briefly on a technical matter? We didn't see
17 that on the screen, on the webinar screen the
18 way we had the previous ones, and my voting
19 screen has not advanced to that question.

20 DR. ROSS: Tara, can you pull up the
21 voting screen?

22 DR. WHITNEY: Same here.

23 DR. OGUNWOBI: Same for me.

24 DR. ROSS: So you all are just seeing
25 each even other, it did not share the screen

1 then.

2 MS. JENSEN: All right, I'm working
3 behind the scenes, we're getting it up if
4 you'll give us one minute. Sorry.

5 DR. ROSS: No problem.

6 MR. KREMER: Thanks, Tamara.

7 DR. OGUNWOBI: The voting website is
8 still just showing outcome six.

9 DR. ROSS: Okay. We'll see, something
10 may have paused it.

11 MS. JENSEN: Yeah, maybe us pulling it
12 off may have delayed it, so give us 30 seconds
13 just to see.

14 MR. PATEL: Actually, can I go back to
15 the last one and change my vote to three
16 instead of two, because that was probably the
17 most important criteria from my perspective so
18 I should have voted three on that one.

19 DR. ROSS: Mr. Patel, that was not a
20 choice.

21 DR. MORA: Dr. Ross, we're holding you
22 personally accountable for the technical
23 difficulties as well.

24 DR. ROSS: No, I know. That's part
25 and parcel of our code, but look, I fixed it.

1 Okay. We're moving to question number
2 seven. Okay, great.

3 So I won't reread the prior criteria
4 but the proposed criterion is, the CED study is
5 registered with clinicaltrials.gov and a
6 complete protocol is delivered to CMS. Please
7 vote.

8 (The panel voted and votes were
9 recorded by staff.)

10 All right, 12 votes, so that means
11 everyone's voting is working. Dr. Dhruva, how
12 did you vote?

13 DR. DHRUVA: I voted two, essential.
14 I think that registration at clinicaltrials.gov
15 is essential. I'd also add, I think that it's
16 important that if there are any updates to
17 protocols, which occurs commonly for a variety
18 of reasons, that these are also updated in a
19 timely manner.

20 DR. ROSS: Dr. Fisch, how did you
21 vote?

22 DR. FISCH: I voted that this is
23 essential, I voted two. I agree with
24 Dr. Dhruva that updates should be done as well
25 in a timely manner. I also believe that I

1 would go one step further, I would strengthen
2 this by requesting redacted protocols to be
3 publicly available, particularly at the time of
4 protocol activation. Just like journals often
5 have a supplementary appendix with protocol
6 when studies are published, they can be
7 redacted to get rid of proprietary information
8 that sponsors don't think are appropriate in
9 the public sphere, but I think this additional
10 step would be very useful.

11 DR. ROSS: Dr. Flannery, how did you
12 vote?

13 DR. FLANNERY: I voted two, essential
14 as well (break in audio) previous comments it
15 looks like.

16 DR. ROSS: Dr. Ford, how did you vote?

17 DR. FORD: I voted two as well. I
18 agree with the previous comments, I'll leave it
19 at that, I agree with the previous comments.

20 DR. ROSS: Okay. Dr. Kanter, how did
21 you vote?

22 DR. KANTER: I voted two, essential.
23 Registration is key for accountability. I
24 might include some investigation of what it
25 means to be complete, but that could be done

1 elsewhere.

2 DR. ROSS: Dr. Maddox, how did you
3 vote?

4 DR. MADDUX: I voted one, important,
5 although that's partly, I think, due to my --
6 these things are in somewhat of a strange
7 order, I would argue, and so I had actually
8 thought some of this was included in the prior
9 elements around requiring a written plan, a
10 protocol with information, governance and data
11 security provisions, et cetera, et cetera. So
12 I guess my only comment would be that all these
13 things could be combined somewhere in terms of
14 protocol, but I do think it's important that
15 things be appropriately registered and
16 delivered to CMS. I just thought it was a bit
17 redundant to have them all on separate lines.

18 DR. ROSS: Dr. Mora, how did you vote?

19 DR. MORA: I voted one, it's important
20 but not essential.

21 DR. ROSS: Dr. Ogunwobi, how did you
22 vote?

23 DR. OGUNWOBI: I voted two for the
24 reasons that were previously stated.

25 DR. ROSS: Dr. Stearns, how did you

1 vote?

2 DR. STEARNS: I voted two. I would
3 emphasize that updating the protocols should be
4 done in a timely manner, and I would agree
5 about the consolidation possible across
6 criteria.

7 DR. ROSS: Dr. Whitney, how did you
8 vote?

9 DR. WHITNEY: I voted two, essential.
10 I think another advantage of requiring the
11 clinicaltrials.gov registration is the
12 publication bias constructs which we talked
13 about, so when studies never get past the
14 registration phase, it suggests there may not
15 be the results they were expecting.

16 DR. ROSS: Dr. Riddle, how did you
17 vote?

18 DR. RIDDLE: I voted one, that this is
19 important and not necessarily essential as
20 written. I think having the protocol delivered
21 to CMS is a nice first step, but I agree very
22 much with Dr. Fisch's comments earlier about
23 that protocol being appropriately redacted when
24 necessary, but available for public consumption
25 as well.

1 DR. ROSS: Mr. Kremer, how did you
2 vote?

3 MR. KREMER: I voted zero and will
4 just say, big fan of clinicaltrials.gov, I
5 think probably most of us are, and will
6 associate myself with the comments about
7 redacting and about modifying the protocols.

8 DR. ROSS: Mr. Patel, how would you
9 have voted?

10 MR. PATEL: I would vote two. I think
11 making sure that the appropriate redaction is
12 there but also as mentioned in the discussion,
13 giving CMS an updated protocol if there were
14 protocol changes that were made or some
15 discussion about how that would occur, I think
16 is also important to add in here.

17 DR. ROSS: Dr. Canos, how would you
18 have voted?

19 DR. CANOS: I would have voted two. I
20 believe it's mandatory to report to
21 clinicaltrials.gov NCT numbers on Medicare
22 claims for services that are provided in
23 clinical research studies that are qualified
24 for coverage, so as I read this I don't think
25 it's optional, so I think they need to have a

1 clinical trials history to actually from, so
2 maybe folks can prove me wrong there, but the
3 part that I see us discussing is the protocol,
4 and I think that's essential, that the protocol
5 go to CMS.

6 DR. ROSS: Dr. Umscheid, how would you
7 have voted?

8 DR. UMSCHIED: I would have voted two,
9 essential. I very much agree with John
10 Whitney's comments earlier about the importance
11 of registering trials, particularly to
12 understand the existence of publication bias.
13 I would also add the caveat, the prior
14 requirement stated when the protocol should be
15 posted prior to the enrollment of the first
16 study subject and I don't see that here, so I
17 don't know if this should be amended to include
18 a specific time or not.

19 DR. ROSS: Dr. Hodes, how would you
20 have voted?

21 DR. HODES: I would have voted two,
22 essential, and would also enforce the
23 suggestion when we had comments about the
24 updates to protocols when they occur.

25 DR. ROSS: Thank you for your votes.

1 Just a note, that we discovered whose
2 committee member's vote was missing for the
3 last question and it was actually Dr. Dhruva.
4 His vote was captured verbally for question six
5 and will be included in the record so everyone
6 is aware.

7 We're going to move on to the next
8 voting question, this relates to the theme of
9 population where there was no existing criteria
10 before. The proposed criterion is, the study
11 population reelects the demographic and
12 clinical diversity among the Medicare
13 beneficiaries who are the intended users of the
14 intervention. This includes attention to the
15 intended users' racial and ethnic backgrounds,
16 gender and socioeconomic status at a minimum.
17 Please cast your votes.

18 (The panel voted and votes were
19 recorded by staff.)

20 Okay, all the votes have been cast.
21 Dr. Dhruva, how did you vote?

22 DR. DHRUVA: I voted two, essential.
23 I think it's essential that this criterion be
24 added. We often lack this information and
25 there's oftentimes variation in benefits and

1 harms based on the variety of factors listed
2 here. It's absolutely essential that this be
3 added.

4 DR. ROSS: Dr. Fisch, how did you
5 vote?

6 DR. FISCH: I voted two, that it is
7 essential, and I like the way it's written, I
8 don't have any further comments.

9 DR. ROSS: Dr. Flannery, how did you
10 vote?

11 DR. FLANNERY: I voted two, essential.
12 I'm not certain we need at a minimum, it could
13 just state these but nothing else.

14 DR. ROSS: Dr. Ford, how did you vote?

15 DR. FORD: I voted two, essential. I
16 would change some of the wording around. I
17 think that somewhere it needs to include a
18 representative sample size of, representative
19 sample size of the intended users' racial and
20 ethnic background, gender and socioeconomic
21 status. I think that there should be some type
22 of required, requirement to include enough of a
23 particular population that is being studied to
24 have effective and accurate data.

25 DR. ROSS: Dr. Kanter, how did you

1 vote?

2 DR. KANTER: I voted two, essential.
3 I think this is an entirely appropriate
4 criterion for the reasonable and necessary
5 statutory standard for CMS, and really
6 appreciate the sentiment. I would note that as
7 we discussed, socioeconomic status is not a
8 standard element in claims data, it's very
9 difficult to actually obtain that on an
10 individual level, people sometimes won't tell
11 you even if you ask them, so I'll just put that
12 in for the record.

13 DR. ROSS: Dr. Maddox, how did you
14 vote?

15 DR. MADDOX: I voted two, essential,
16 and while I recognize it can't go into this
17 verbiage here, I would very much encourage CMS
18 to lead on helping to develop criteria and a
19 standard approach to how this could be
20 implemented, because I think it should be.
21 This has the potential to resonate far more
22 broadly if done well, so this is an opportunity
23 to really elevate the importance of this
24 particular principle.

25 DR. ROSS: Dr. Mora, how did you vote?

1 DR. MORA: Thank you. I voted two as
2 well. I echo Dr. Maddox' comments, I think
3 this is a big ground and an important point.
4 Thanks.

5 DR. ROSS: Dr. Ogunwobi, how did you
6 vote?

7 DR. OGUNWOBI: I also voted two and I
8 agree with the comment made by Dr. Ford, and I
9 believe Dr. Maddox, you know, the sample size
10 should be representative and adequately powered
11 to include all of these diverse groups, and the
12 goal should be to diminish health disparities
13 as far as given health outcomes.

14 DR. ROSS: Dr. Stearns, how did you
15 vote?

16 DR. STEARNS: I voted two, essential,
17 and I agree in particular with the comments by
18 Dr. Ford and some others. The comment that I
19 will add is that the word intended possibly
20 could be considered, regarding whether sample
21 sizes should be sufficient for certain subgroup
22 analyses, which is a little different than
23 having a representative population necessarily.

24 DR. ROSS: Dr. Whitney, how did you
25 vote?

1 DR. WHITNEY: Two, essential. I agree
2 particularly with Dr. Maddox's comments about
3 the potential benefits of this being launched
4 well. I do think there's a problem with the
5 phrase users of the intervention; that's not
6 really Medicare ese, I think maybe recipient of
7 the service, because you're not looking at the
8 interventions in the sort of omni lexicon of
9 what an intervention might be.

10 DR. ROSS: Dr. Riddle, how did you
11 vote?

12 DR. RIDDLE: I voted two, essential as
13 well, and echo the comment I believe made by
14 Dr. Maddox about how this has far reaching
15 potential beyond just this reporting
16 requirement.

17 DR. ROSS: Mr. Kremer, how did you
18 vote?

19 MR. KREMER: It breaks my heart that I
20 voted zero on this one. I feel as strongly as
21 I think anyone else on this panel about the
22 importance of the concept here, but I have deep
23 reservations about how CMS will utilize this
24 kind of requirement based on the experience
25 that we've seen with how it has been utilized

1 in the case of the community that I represent
2 in particular through my day-to-day work in
3 Alzheimer's and related forms of dementia.
4 This is an ideal, but how it gets implemented
5 is where the rubber meets the road for affected
6 communities, particularly communities that are
7 disproportionately affected by conditions like
8 but not limited to Alzheimer's disease, and if
9 this is used counter to its real intent by us
10 as a way to limit access for communities that
11 face the highest burden of disease based on
12 these sort of demographic considerations, then
13 it will be counter to our purpose in endorsing
14 this in our advisory role.

15 And I'll just give a last point as an
16 example. If this weren't in the CMS context,
17 if this were just about how studies ought to be
18 designed and what standards they had to be held
19 to generally, not in a CMS context, in a CED
20 context in particular, this doesn't go nearly
21 far enough. And the concrete example I'll give
22 you again particular to my work experience, but
23 probably more broadly applicable is the Down
24 syndrome and intellectual disabilities
25 communities who are routinely excluded from

1 clinical trials for Alzheimer's disease,
2 therapies, diagnostics, et cetera. And yet,
3 they face the highest rates of Alzheimer's of
4 all communities; African Americans are twice as
5 likely as Caucasians to have Alzheimer's, but
6 something like, depending on which studies you
7 look at, 50 to 90 percent of people with Down
8 syndrome who reach Medicare beneficiary
9 eligibility will have Alzheimer's disease, and
10 yet they're excluded from the trials. So I
11 don't know that even with the phrase at a
12 minimum, I don't know that this goes far
13 enough, so I think it could be strengthened,
14 and I appreciate and endorse the concept and
15 the priority that we all want to put on this,
16 but I have to vote zero again given my
17 contextual concerns about CMS's authority and
18 operationalization of these requirements.

19 DR. ROSS: Mr. Patel, how would you
20 have voted?

21 MR. PATEL: I would vote two. I agree
22 with everybody's thoughts around the importance
23 of this. I agree with Dr. Kanter's caveat for,
24 about the difficulty of collecting some of this
25 information, not only socioeconomic stuff but

1 I'll use the racial and ethnic to the extent
2 that patients opt not to provide that
3 information, so I think we have to recognize
4 that.

5 I do agree with what Dr. Whitney said.
6 When I read intended users in both sentences,
7 it struck me as odd, and then I would think we
8 could simple replace users with patients, or
9 Medicare beneficiaries, in both sentences,
10 because I really do believe that was intended,
11 that was the rationale behind it, and not the
12 outliers that might be using the technology to
13 deliver the service.

14 DR. ROSS: Dr. Canos, how would you
15 have voted?

16 DR. CANOS: I would have voted, well,
17 one as important. I agree with Dr. Maddox's
18 statements. I do share Mr. Kremer's concern
19 regarding unintended consequences of this, and
20 kind of reflecting back to the race to the
21 perfect study that has full ascertainment for
22 the diverse population of Medicare. I think
23 it's important, very important to have that
24 study be reflective of the population, but I
25 want to kind of consider the data collection

1 related to these CEDs balanced out to provider
2 burden, understanding that not, you know, that
3 the rural providers don't have the same data
4 collection, clinical data efforts, collection
5 efforts, research sciences that some of these
6 academic research centers do, and many times
7 the data collection efforts fall on the
8 provider, and would not want this to become a
9 criterion that results in inadvertently creates
10 a barrier to access to care.

11 I think we heard from Dr. Bach
12 Bockstedt about some tiered approaches to data
13 collection where there's a, you know, a more
14 clinically rich deeper dive than a traditional
15 study context, but then having a wider base on
16 claims looking for adverse events. You know,
17 if this were to go forth, I would encourage,
18 you know, be supportive of Medicare working
19 with individuals to insure it does not become a
20 barrier to care, and that it's, you know, where
21 appropriate kind of leverages existing
22 methodologies used for data collection that
23 reduces the provider burden for data capture
24 and where appropriate, aligns with the existing
25 requirements for that part of the study.

1 DR. ROSS: Dr. Umscheid, how would you
2 have voted?

3 DR. UMSCHIED: I would have voted two.
4 I think it's essential, I think it's a
5 critically new requirement. I greatly
6 appreciate, I think the first sentence of this
7 two-sentence requirement, I think captures it
8 really well. I do worry somewhat about the
9 second sentence and how specification might
10 have unintended consequences, as has been
11 mentioned by a number of the panelists, in
12 particular the practicality of collecting some
13 of this data like socioeconomic status at the
14 individual level.

15 DR. ROSS: Dr. Hodes, how would you
16 have voted?

17 DR. HODES: I would have voted a two,
18 essential. I think it is a new and critical
19 element that's attending to an important
20 aspect. I think the notion that attention be
21 paid to intended users or beneficiaries leaves
22 the kind of flexibility that we, many of us
23 agree is important, and just what degree of
24 data and diversity and initial approval versus
25 subsequent monitoring is going to be an optimal

1 solution in a given case.

2 DR. ROSS: Thank you for your votes.
3 We're going to move on to the ninth criteria.
4 This relates to the theme of generalizability.
5 The prior criteria was, the study protocol
6 explicitly discusses how the results are or are
7 not expected to be generalizable to the
8 affected beneficiary subpopulations. Separate
9 discussions in the protocol may be necessary
10 for populations eligible for Medicare due to
11 age, disability or Medicaid eligibility.

12 The newly proposed criteria is, when
13 feasible and appropriate to answering the CED
14 question, data for the study should come from
15 beneficiaries in their usual sites of care,
16 although randomization to receive the product
17 may be in place. Please cast your votes.

18 (The panel voted and votes were
19 recorded by staff.)

20 We seem to be a vote short, if
21 everyone would confirm that very voted?

22 MS. HALL: Can everyone just vote
23 again to make sure the system it capturing the
24 votes?

25 DR. ROSS: Okay, that's 12 votes,

1 hopefully we got everybody's vote correctly,
2 and we'll be able to confirm through public
3 statement. Dr. Dhruva, how did you vote?

4 DR. DHRUVA: I voted two, essential.
5 I think we certainly need to have data from a
6 beneficiary's usual site of care. As discussed
7 in my question to Dr. Segal yesterday, the word
8 although need not necessarily be there. If we
9 think about rigor of evidence generation, we
10 know that randomization when appropriate
11 provides the greatest rigor of evidence
12 generation, and as we currently strengthen our
13 evidence generation system in the United States
14 to conduct trials with more pragmatic elements,
15 certainly randomization at point of care where
16 patients are getting their usual sites, where
17 patients are at their usual sites of care is
18 increasingly feasible.

19 DR. ROSS: Dr. Fisch, how did you
20 vote?

21 DR. FISCH: I voted one, that this is
22 important. And I think could be strengthened
23 just by removing the clause about although
24 randomization to receive the product in place;
25 it's just awkward.

1 DR. ROSS: Dr. Flannery, how did you
2 vote?

3 DR. FLANNERY: I voted two, essential.
4 I agree with the issue about the randomization
5 statement.

6 DR. ROSS: Dr. Ford, how did you vote?

7 DR. FORD: I voted one. I think it is
8 important and I have the same concern about the
9 randomization clause.

10 DR. ROSS: Dr. Kanter, how did you
11 vote?

12 DR. KANTER: I voted one, important.
13 There are three concerns I had.

14 One is the purpose of the
15 randomization phrase at the end. Second, I
16 think there was some meaning that was lost from
17 the existing requirement to the current
18 requirement which really doesn't capture this
19 notion of generalizability. Thirdly, usual
20 sites of care although nice, I think that there
21 are other ways to generalize from the study to
22 the Medicare population, and I would be okay
23 with that.

24 DR. ROSS: Dr. Maddox, how did you
25 vote?

1 DR. MADDOX: I voted on, important. I
2 agree with Dr. Kanter that the concept of
3 generalizability may have gotten to a more
4 important piece in number eight than in this,
5 and I don't really understand why usual sites
6 of care enhances generalizability necessarily.
7 Usual site of care can mean something very
8 different if you're receiving a very unusual
9 device that needs high tech training versus if
10 you're receiving, you know, sort of a standard
11 medication that you can get from a primary
12 office, and so I'm just not sure I see the
13 necessity of this element, given that we have
14 in a prior one, it talks about being inclusive
15 in the way that these studies are conducted.

16 DR. ROSS: Dr. Mora, how did you vote?

17 DR. MORA: I voted one, important. I
18 don't have anything to add to the prior
19 comments. Thanks.

20 DR. ROSS: Dr. Ogunwobi, how did you
21 vote?

22 DR. OGUNWOBI: I voted two because I
23 thought it was helpful to a lot of flexibility
24 of, you know, this data being able to be
25 collected in usual sites of care for us when

1 opportunities for randomization are possible.

2 DR. ROSS: Dr. Stearns, how did you
3 vote?

4 DR. STEARNS: I voted one. I believe
5 this is important but not essential, this
6 aspect of generalizability. I also have a
7 specific wording suggested change, which is
8 that the phrase, the last phrase be changed to
9 although randomization to receive the product
10 may, and then change it to may shift the site
11 of care in some cases. So that's my
12 suggestion.

13 DR. ROSS: Dr. Whitney, how did you
14 vote?

15 DR. WHITNEY: I voted zero, not
16 important. I think the requirement as written
17 is essentially unenforceable, it's vague, it
18 has so many, you know, feasible and appropriate
19 caveats that it would make it not able to be
20 used, and I think the study sponsor has a clear
21 interest in making sure they have generalizable
22 data. So depending on the specific service,
23 you know, if it's highly specialized, it won't
24 be in, quote, their usual site of care, because
25 it will be happening in some tertiary site or

1 institution, so think this is not needed.

2 Thank you.

3 DR. ROSS: Dr. Riddle, how did you
4 vote?

5 DR. RIDDLE: I voted one, important.
6 I echo the comments Dr. Whitney made.

7 DR. ROSS: Mr. Kremer, how did you
8 vote?

9 MR. KREMER: With no surprise to
10 anyone, a zero. I'm delighted even though his
11 rationale is different, I'm no longer alone and
12 Dr. Whitney also voted zero. I will just
13 register for the more important element than
14 voting is the discussion, that I have concerns
15 about the reference to usual sites of care and
16 the reference to randomization, based on how
17 CMS might in the real world apply those terms.

18 Usual sites of care can be misapplied
19 in order to restrict access and threaten the
20 health equity concerns that we all spoke to on
21 the preceding questions. So there are, as some
22 or perhaps all of you know, extraordinary
23 shortages of specialists in certain fields, and
24 that has relevance for what is currently or
25 what in the future may become the usual sites

1 of care, and so I think there is an opportunity
2 for misuse of that otherwise laudable notion.

3 Randomization, I don't know anyone
4 that doesn't value RCTs, but there's a time and
5 a place, and for me the time and place is an
6 FDA matter in Phases I through III, and really
7 obviously Phase III, and where FDA requires it,
8 a Phase IV study. I have deep concerns about
9 anything that might lead to a requirement of an
10 RCT for a postmarket coverage decision,
11 particularly where RCTs can have a variety of
12 negative consequences, not all of which I'll
13 articulate, some of which were articulated in
14 the public comments that we received in
15 writing, and I believe were also spoken to, but
16 among other things, they can also affect
17 equitable access, health equity access,
18 particularly for traditionally minoritized
19 populations.

20 So there is danger here from my point
21 of view across disease states and across
22 population groups to anything that might imply
23 authorization for further use of, further
24 insistence by CMS on use of RCTs, either for an
25 accelerated approval product or traditional

1 approval products.

2 DR. ROSS: Mr. Patel, how would you
3 have voted?

4 MR. PATEL: I probably would have
5 voted a one. I think this is the criteria I
6 had the most difficulty with. The term usual
7 sites of care, I think in the past discussion
8 referred to sites of care such as outpatient
9 hospital, et cetera. And when you say usual
10 sites of care, is that a current usual site of
11 care that's expected, or maybe the expected
12 site of care might be even more appropriate,
13 particularly as you see services go from
14 inpatient to outpatient, from even a facility,
15 a hospital, a clinic, to a home study site.
16 That troubles me, what is meant by that, and
17 what would be expected, frankly, of a sponsor
18 in terms of what's expected in that.

19 And then the second piece, the
20 awkwardness of, although randomization is a bit
21 awkward, I'm not quite sure what they -- I
22 think I know what they mean, and it may not be
23 possible to do this because of randomization
24 and maybe that's what the was, but I think that
25 needs to be clarified, because I am, I would be

1 troubled if the notion is randomization is
2 required to do that.

3 And then a third piece, really, to
4 receive the product, I really think that
5 focuses in on particular devices and it may be
6 better and probably should be, to say receive
7 the services regardless of what we say about
8 the kind of randomization, because a CED could
9 also be applied to services as well. So I
10 would eliminate the word product and replace it
11 with services, realizing this is CMS's
12 language.

13 DR. ROSS: Dr. Canos, how would you
14 have voted?

15 DR. CANOS: I would have voted one,
16 important as well. I concur with other
17 statements about dropping kind of the caveat of
18 although randomization to receive the product
19 may be in place.

20 Going back to the charge for this
21 MEDCAC, or the issue as stated on that, you
22 know, we're looking at the purpose as driven by
23 topic in question and health outcome studies,
24 an making sure populations of the study is
25 representative. And it provided an example in

1 the charge that some questions may be
2 sufficiently answered through analysis of other
3 evidence, including a data registry, through
4 VHRs and administrative claims. If the intent
5 of this wording gets at, you know, really
6 thinking about pragmatic studies, leveraging
7 healthcare accounting data, or secondary data
8 that's selected by an entity for another
9 purpose, you know, EHR, administrative claims,
10 then you know, I'm on board with the language,
11 it makes sense, it's consistent with the charge
12 and where appropriate the methodology should be
13 leveraged.

14 But with the wording as it currently
15 states, I do share concerns the rest of the
16 panel has on the beneficiary data and their
17 usual sites of care as mentioned here. But if
18 the intent, again, if the intent is on the
19 pragmatic trial aspect of studies, I would
20 certainly be supportive of revised wording that
21 gets it more to the heart of that.

22 DR. ROSS: Dr. Umscheid, how would you
23 have voted?

24 DR. UMSCHIED: I would have voted one.
25 I think this is important. I particularly

1 appreciate the spirit here of increasing access
2 to services at usual sites of care and the
3 generalizability of information that would I
4 come from that. I do worry, though, about
5 misinterpretation of usual sites of care, and
6 this initial clause, when feasible and
7 appropriate, for answering the question is
8 really important. Obviously some services can
9 be provided at usual sites of care; other
10 highly technical services, as folks have
11 shared, tertiary coordinated centers may be the
12 safest place to provide those services. So I
13 think it's important but not essential.

14 DR. ROSS: Dr. Hodes, how would you
15 have voted?

16 DR. HODES: I would have voted one,
17 important, and particularly would reinforce
18 what Dr. Umscheid has said. Feasible and
19 appropriate is useful in getting flexibility;
20 on the other hand, it's incredibly difficult,
21 subjective and problematic for that reason.

22 DR. ROSS: Thank you for your votes.
23 We're going to turn to item number ten, dealing
24 with data quality, for which there was no
25 existing requirement in the 2014 version of the

1 CED requirements. The proposed criteria is
2 now, the data are generated or selected with
3 attention to completeness, accuracy,
4 sufficiency or duration of observation to
5 demonstrate durability of results, and
6 sufficiency of sample size as required by the
7 question. Please cast your votes.

8 (The panel voted and votes were
9 recorded by staff.)

10 Okay, all of the votes are in. Dr.
11 Dhruva, how did you vote?

12 DR. DHRUVA: I voted two, essential.
13 I think all of these components are very
14 important, or sorry, I should say essential. I
15 specifically want to focus on the durability.
16 We oftentimes learn about particular safety
17 risks that may take time to emerge, and I think
18 it's very important that we see, that we have
19 language about duration of observation and
20 demonstration of durability.

21 DR. ROSS: Dr. Fisch, how did you
22 vote?

23 DR. FISCH: I voted two, essential,
24 and I agree with Dr. Dhruva's comments.

25 DR. ROSS: Dr. Flannery, how did you

1 vote?

2 DR. FLANNERY: I voted two, essential,
3 and I agree with the previous comments.

4 DR. ROSS: Dr. Ford, how did you vote?

5 DR. FORD: I voted two as essential.
6 However, I do have a different opinion about
7 durability. I think it can mean different
8 things to different groups, so I would consider
9 another possibility. I know that we discussed
10 that yesterday, but I'm still not a hundred
11 percent on the use of the word durability.

12 DR. ROSS: Dr. Kanter, how did you
13 vote?

14 DR. KANTER: I voted two, essential.
15 These are all desirable features of data to
16 have in a credible study. I would also add
17 that we might want to change the phrase
18 durability of results; do we mean durability of
19 net benefits observed, just to get some more
20 precision on that.

21 DR. ROSS: Dr. Maddox, how did you
22 vote?

23 DR. MADDOX: I voted two, essential.
24 I think this concept is essential. I have
25 concerns about some of the language in it. I

1 think timeliness needs to be added per my prior
2 comment about how to ensure that the data are
3 collected in an early and often fashion.

4 I would love to find some way to
5 indicate community input or patient input into
6 sort of deciding about what elements are
7 important, maybe that goes in the outcomes
8 section and not here, but I forgot to bring it
9 up then so I'm bringing it up now.

10 I also wrote down that I didn't like
11 the term durability for the same reason. I
12 don't know that we are necessarily only looking
13 for durability of results. There could be
14 different results that are later and not early,
15 and therefore not at all durable but just don't
16 show up until later, so I think it needs to
17 indicate that we want short-term and long-term
18 results over some appropriate timeframe for the
19 intervention being considered. I don't think
20 the term durability actually captures that.

21 And this is, sorry, also not quite
22 here, but I kept thinking there was going to be
23 something about safety being an important
24 component of the net benefit of the things that
25 we looked at, and I don't know if that goes

1 here or if that's just saying something about
2 the, maybe that's the completeness of the
3 outcome ascertainment or something like that,
4 but that cued to me too, it's not the
5 durability, it's the short- and long-term
6 effects, including safety, which then made me
7 think maybe I should have brought that up
8 earlier along with community involvement in
9 this selection.

10 DR. ROSS: Dr. Mora, how did you vote?

11 DR. MORA: I voted two, essential. I
12 think this requirement is consistent with a
13 rigorous methodology. Thanks.

14 DR. ROSS: Dr. Ogunwobi, how did you
15 vote?

16 DR. OGUNWOBI: I voted two, and I
17 actually agree with Dr. Maddox's comments.

18 DR. ROSS: Dr. Stearns, how did you
19 vote?

20 DR. STEARNS: I vote two. I want to
21 reiterate the importance that Dr. Maddox
22 commented, and based on the discussion
23 yesterday, I would change the beginning
24 sentence to say the data are generated or the
25 data sources selected, to avoid any concern

1 about other types of selection that would not
2 be desirable.

3 DR. ROSS: Dr. Whitney, how did you
4 vote?

5 DR. WHITNEY: I voted two, essential.
6 I think, I appreciate the prior comments. I do
7 think duration is, and durability are really
8 important constructs here. Thank you.

9 DR. ROSS: Dr. Riddle, how did you
10 vote?

11 DR. RIDDLE: I voted two, essential.
12 I would echo Dr. Ford's comments about what
13 exactly we mean here with durability.

14 DR. ROSS: Mr. Kremer, how did you
15 vote?

16 MR. KREMER: Again, I would have loved
17 to have voted two and I voted zero. I share
18 the concerns of Dr. Maddox in particular about
19 durability. I only feel, add a little caution
20 about getting into safety and efficacy
21 considerations that are, again, overtly FDA's
22 domain and overtly not CMS's domain. But part
23 of my concern about the durability issue and
24 however that ultimately may get rephrased by
25 CMS down the line, is hoping there will be some

1 direct reference in this question in relation
2 to durability to the patient preference and
3 person-centered point of view on what
4 durability means.

5 And this really relates very centrally
6 to my repeated earlier points about how a one
7 size fits all approach is not only problematic
8 but potentially disastrous for a number of
9 patient populations. Durability of results for
10 a short field like oncology almost certainly
11 are fundamentally different than for a
12 relatively young field generally, and in
13 particular for disease-modified therapies like
14 Alzheimer's disease. We aren't going to have,
15 probably in my life, I hope I'm wrong, we
16 aren't likely to have anything that any of us
17 would call a cure for Alzheimer's --

18 DR. ROSS: Mr. Kremer, I'm sorry to
19 interrupt, but I do not want to talk about
20 specific therapies, we are talking about the
21 criteria.

22 MR. KREMER: I'm only using it as
23 hopefully an illustrative point, I'm not trying
24 to make this about one disease, it's just the
25 one I know better than others, but, so I'll

1 rescind the reference to Alzheimer's, I'll just
2 say durability is in the eyes of the beholder,
3 the beholder is the patient, it's not the
4 clinician, it's not the researcher, it's not
5 the study sponsor, and God help us, it's not a
6 federal agency, no matter how benevolent and
7 well intentioned the individuals in that
8 federal agency may be.

9 DR. ROSS: Mr. Patel, how would you
10 have voted?

11 MR. PATEL: I would have voted two and
12 as I mentioned yesterday, I think it would be
13 helpful to separate data sources that are
14 selected and data generated in that first
15 sentence to make it very clear. And I think if
16 you were very explicit about this is all about
17 the sources of the data and look at it
18 generally, I think the safety element is
19 actually addressed in criteria L, from my
20 perspective, because I do agree the data for
21 the study has to be connected, and I think L
22 covers that.

23 I also have similar concerns around
24 durability, it can mean many things to many
25 different folks. I think what they're trying

1 to get at as somebody touched on earlier,
2 short-term and long-term outcomes. If that's
3 the intent, a wording change I think would be
4 helpful. But in any case, I also think it's
5 important to add the caveat important before
6 that because again, we don't want to have
7 situations where one size fits all, so
8 appropriate I think depending on the context of
9 the technology, of the service, to try to make
10 sure that word is in there when we're talking
11 about long-term and short-term outcomes, if
12 indeed that's the intent.

13 DR. ROSS: Dr. Canos, how would you
14 have voted?

15 DR. CANOS: So, good question. So, I
16 view this as important. I'm a little
17 conflicted on the vote here. I find data
18 quality to be a complete misnomer for this
19 mixed bag of statements. You know, sample size
20 in and of itself is not data quality. Within
21 the design aspects of the studies in CED we
22 already talked about threshold, we talked about
23 precision, and so I would inherently, I don't
24 think data quality is that, it's a design
25 aspect or study aspect.

1 I do also share concerns on the use of
2 the word durability as it pertains to duration
3 of effect. You know, primary outcomes are
4 explicitly called out within the study design
5 aspects where an outcome should be assessed at
6 a certain period of time. I'm not sure how
7 durability factors in here in data quality when
8 it's already covered elsewhere within
9 requirements.

10 I find big portions of this to be
11 duplicative of other areas. If this element
12 was in and of itself about data quality and
13 completeness, I'd say absolutely essential, but
14 I find many of these elements to be already
15 covered.

16 DR. ROSS: Dr. Umscheid, how would you
17 have voted?

18 DR. UMSCHEID: I completely agree. I
19 think as written, I would say one, this is
20 important, but I do think a lot of these
21 concepts as Dr. Canos was saying, are captured
22 in other criteria, particularly sufficiency of
23 duration of observation, I do think that is
24 captured in developing the primary outcome of
25 the study. I think sufficiency of sample size

1 is already addressed in criteria D around
2 necessary precision.

3 So I agree, I think data quality,
4 accuracy, completeness is essential, but as
5 written, I think this is important.

6 DR. ROSS: Dr. Hodes, how would you
7 have voted?

8 DR. HODES: I also would have voted
9 important, one, not because these aren't all
10 critically essential dimensions, but I think
11 they are redundant to other of the elements
12 we've discussed.

13 DR. ROSS: Thank you for your votes.
14 We're going to move on to question number 11,
15 or criteria number 11 for which there was no
16 existent requirement. The proposed criteria
17 is, sponsors/investigators provide information
18 about the validity of the primary exposure and
19 outcome measures, including when using primary
20 data that is collected for the study and when
21 using existing, in parentheses, secondary data.
22 Please cast your votes.

23 (The panel voted and votes were
24 recorded by staff.)

25 Okay, all the votes have been cast.

1 Just a reminder to please keep your comments as
2 concise as possible. We still have a ways to
3 go and only about an hour left in the allotted
4 meeting time. If you're echoing or reinforcing
5 comments made by others, please just be concise
6 in saying that.

7 Dr. Dhruva, how did you vote?

8 DR. DHRUVA: Thanks. I voted two,
9 essential. A couple of comments, because I
10 think the validity of exposure can be
11 difficult, particularly for medical devices
12 that are hard to track without a unique device
13 identifier or at least a device identifier in
14 claims data and electronic health records.

15 The other comment I'll make is
16 secondary data or real-world data, they require
17 validation. These data are generally collected
18 during routine clinical care, and there's a lot
19 of work that needs to be done so these can be
20 used for reliable causal inference about
21 benefits and harms to Medicare beneficiaries.

22 DR. ROSS: Dr. Fisch, how did you
23 vote?

24 DR. FISCH: I voted a two, essential.
25 I found this confusing, I did a little bit

1 better when I looked at Dr. Segal's slide 35,
2 item K, which we really emphasized that this is
3 in the context of secondary data, it made more
4 sense to me. But the bottom line is if you
5 want to make a judgment about how the exposure
6 to a service is related to an outcome, you have
7 to have a valid measure of the exposure and a
8 valid measure of the outcome, so it's
9 essential.

10 DR. ROSS: Dr. Flannery, how did you
11 vote?

12 DR. FLANNERY: Two, essential.

13 DR. ROSS: Dr. Ford, how did you vote?

14 DR. FORD: I voted two, essential, and
15 I echo the comments that were made.

16 DR. ROSS: Dr. Kanter, how did you
17 vote?

18 DR. KANTER: I voted two, essential.
19 Certainly having valid measures is important to
20 having valid outcomes and I think it is, I
21 mean, I think the key here is it's incumbent on
22 sponsors and investigators to justify their
23 selection of these measures.

24 DR. ROSS: Dr. Maddox, how did you
25 vote?

1 DR. MADDOX: I voted a one, important.
2 It just felt a little overly proscriptive to
3 me, and felt like something that would be done
4 as a part of a study anyhow.

5 DR. ROSS: Dr. Mora, how did you vote?

6 DR. MORA: I voted two, essential, and
7 agree with Dr. Dhruva's comments.

8 DR. ROSS: Dr. Ogunwobi, how did you
9 vote?

10 DR. OGUNWOBI: I voted two, and I
11 agree with Dr. Kanter's comments.

12 DR. ROSS: Dr. Stearns, how did you
13 vote?

14 DR. STEARNS: I voted two, essential,
15 and I suggest for clarity based on the
16 discussion yesterday, that the word exposure be
17 rephrased with exposure to treatment or
18 service.

19 DR. ROSS: Dr. Whitney, how did you
20 vote?

21 DR. WHITNEY: I voted one, important,
22 and I would echo what Dr. Maddox said.

23 DR. ROSS: Dr. Riddle, how did you
24 vote?

25 DR. RIDDLE: I also voted one, that it

1 was important, and similar comments to
2 Drs. Maddox and Whitney.

3 DR. ROSS: Mr. Kremer, how did you
4 vote?

5 MR. KREMER: I voted zero, and again
6 agree with Dr. Maddox on the substance.

7 DR. ROSS: Mr. Patel, how would you
8 have voted?

9 MR. PATEL: I would have voted one. I
10 agree with Dr. Maddox, I mean, some of these
11 can be combined with other elements as well, so
12 I'm not sure it's necessary.

13 DR. ROSS: Dr. Canos, how would you
14 have voted?

15 DR. CANOS: One as well. As stated
16 before, or as Mr. Patel just referenced, with
17 the addition of, I'm not exactly holding the
18 necessary distinction of existing, that
19 adjective before secondary, whether it be
20 prospective or retrospective, you know, intent
21 or, you know, going forth with secondary data,
22 validity would be important for primary or
23 secondary data without the need for the
24 adjective before secondary.

25 DR. ROSS: Dr. Umscheid, how would you

1 have voted?

2 DR. UMSCHIED: I would have voted two.
3 I think this is essential for a good study
4 design like Dr. Kanter said.

5 DR. ROSS: And Dr. Hodes, how would
6 you have voted?

7 DR. HODES: I would have voted two,
8 essential, with a suggestion of clarification
9 of primary exposure.

10 DR. ROSS: Thank you for your votes.

11 Okay, we are moving to item number 12,
12 design. I just want to confirm, there are two
13 items here. CMS, should we be ment voting on
14 each separately, correct, two bullet points?
15 That's how I had planned to do it. Tamara, can
16 you confirm, or Tara?

17 MS. JENSEN: Sorry, something just
18 happened to our screen where it went blank.
19 Can you repeat? We were looking at a blank
20 screen here. Can you repeat the question, I'm
21 sorry?

22 DR. ROSS: Sure. In the next session,
23 on the screen are the two old criteria and
24 actually two newly proposed criteria, and I was
25 going to ask the members of the committee to

1 vote on them separately. Was that your idea or
2 did you want me to have both criteria be voted
3 on at the same time?

4 MS. JENSEN: I think they're supposed
5 to be voted on at the same time.

6 DR. ROSS: Okay.

7 MS. JENSEN: I think that's how the TA
8 came to us, so yeah.

9 DR. ROSS: Okay.

10 MS. JENSEN: I can understand why
11 that -- yeah, that's probably easiest.

12 DR. ROSS: So this relates to the
13 theme of design in both prior criteria, where
14 the study design is methodologically
15 appropriate, and the anticipated number of
16 enrolled subjects is sufficient to answer the
17 research questions being asked in the NCD. As
18 well as, all aspects of the study are conducted
19 according to appropriate standards of
20 scientific integrity.

21 The proposed revised criteria are, the
22 study design is selected to generate valid
23 evidence safely and efficiently for decision
24 making by CMS. If a contemporaneous comparison
25 group is not included, this choice must be

1 justified. And, the sponsors/investigators
2 minimize the impact of confounding and biases
3 on inferences with rigorous design and
4 appropriate statistical techniques. So please
5 cast your votes.

6 (The panel voted and votes were
7 recorded by staff.)

8 We need one more vote. There we go.
9 I would ask when you explain your vote and you
10 rationale, if you could to make it easier for
11 CMS, please make sure you reference whether
12 you're referring to the first bullet or the
13 second bullet for any suggestions.

14 Dr. Dhruva, how did you vote?

15 DR. DHRUVA: I voted two, essential.
16 To the first bullet, I think studies are
17 certainly strongest when they have active
18 controls, so I think it's important that
19 there's justification of why a comparison group
20 may not be included.

21 And to the second point, I think that
22 as we see, I think it's incredibly important
23 regarding minimizing confounding and bias, and
24 when appropriate, randomization is actually the
25 most rigorous way to minimize confounding and

1 bias, and is the most rigorous design when
2 there's not evidence of benefits and harms to
3 Medicare beneficiaries.

4 DR. ROSS: Dr. Fisch, how did you
5 vote?

6 DR. FISCH: I voted two for the first
7 and two also for the second part of this. I
8 only point out that, Dr. Ross, when you spoke
9 about the first one you talked about the choice
10 may be justified, but the wording is must be
11 justified, and I agree with the must be
12 justified wording.

13 DR. ROSS: Oh, Freudian slip. I was
14 editing in my head.

15 Dr. Flannery, how did you vote?

16 DR. FLANNERY: I voted two, essential
17 for both.

18 DR. ROSS: Dr. Ford, how did you vote?

19 DR. FORD: I voted two for the first
20 bullet and two for the second bullet. However,
21 for the first bullet, some of this information
22 has been stated in previous areas like, you
23 know, adequate protocol, et cetera, so I'm
24 wondering if certain parts could be reduced so
25 that we don't repeat the same information in

1 different parts of the protocol.

2 DR. ROSS: Dr. Kanter, how did you
3 vote?

4 DR. KANTER: I voted two, essential.
5 One comment I would make is regarding the first
6 bullet point. I would strengthen it more. So
7 currently the choice of not having a
8 contemporaneous comparison group is just must
9 be justified. I can think of a number of
10 justifications like oh, it's just too onerous,
11 and so I think I would like not only the
12 justification, but also a discussion of the
13 kind of weaknesses that might arise because of
14 not using that kind of comparison, as well as
15 any measures taken to compensate for the lack
16 of such a group.

17 DR. ROSS: Dr. Maddox, how did you
18 vote?

19 DR. MADDOX: I voted two, essential
20 for both, and don't have any additional
21 comments.

22 DR. ROSS: Dr. Mora, how did you vote?

23 DR. MORA: I voted two for essential
24 for both of them. They're both consistent with
25 the rigorous methodology and when followed will

1 improve our ability to decide if it's necessary
2 and reasonable. Thank you.

3 DR. ROSS: Dr. Ogunwobi, how did you
4 vote?

5 DR. OGUNWOBI: I voted two and I
6 concur with Dr. Mora.

7 DR. ROSS: Dr. Stearns, how did you
8 vote?

9 DR. STEARNS: I voted two, essential.
10 I am a little concerned about the justification
11 clause with the contemporaneous comparison
12 group, and that, the justification needs to be
13 substantial, such as the service's use is
14 already widely spread in the population so that
15 it's challenging to get the contemporaneous
16 comparison group, but overall two for both
17 criteria.

18 DR. ROSS: Dr. Whitney, how did you
19 vote?

20 DR. WHITNEY: I voted one for
21 important. I was a little conflicted like none
22 of the above. I think actually that the 2014
23 wording is better in many ways. I don't like
24 the focus on CMS decision making in the first
25 bullet, I don't think it's necessary at all.

1 But the second bullet is better than many of
2 the criteria around sort of good study design,
3 but I think it's important to call out, so
4 that's why I'd sort of eliminate the first
5 bullet and the second bullet would see it
6 through.

7 DR. ROSS: Dr. Riddle, how did you
8 vote?

9 DR. RIDDLE: I voted zero, not
10 important, not because conceptually these
11 aren't important aspects, but looking at them
12 together in the totality, I agree very much
13 with what Dr. Whitney just stated, especially
14 around this idea of calling out explicitly
15 decision making by CMS and the lack of, if
16 you've got to justify it, but I think
17 Dr. Kanter said well, okay, it's really hard or
18 extensive to do it. I think there is a lot of
19 work that needs to be done here.

20 DR. ROSS: Mr. Kremer, how did you
21 vote?

22 MR. KREMER: I voted zero. I might
23 have been tempted to go with a one based on
24 what Dr. Whitney was saying. You know, I
25 agree, bullet one doesn't need to be there at

1 all, and bullet two is in many ways implied in
2 any reasonable study approach, but I do want to
3 return briefly to this issue of contemporaneous
4 comparison group.

5 I won't reiterate the full breadth and
6 depth of the argument I tried to make earlier,
7 but this can be used as a slippery slope for
8 RCTs with, you know, placebo control arms for
9 traditionally approved FDA products. That's
10 going to do a lot of harm to Medicare
11 beneficiaries and not necessarily provide a lot
12 of value. If it's just for, you know, a claims
13 data study, people that happen to be on a drug
14 and people that happen to be off, maybe it's a
15 different set of considerations about whether
16 that's okay.

17 DR. ROSS: Mr. Patel, how would you
18 have voted?

19 MR. PATEL: I'm a little torn between
20 one and two to be honest. I think many
21 panelists have said many elements of these are
22 already incorporated, and I think Dr. Whitney
23 said he liked the original criteria and I kind
24 of agree with that. I mean at the end of the
25 day the design has to be methodologically

1 appropriate. Number of patients, et cetera,
2 presumably that's implicit in some of the other
3 criteria if you want, you know, appropriate
4 outcomes that can generate clinically
5 meaningful data. So I think a lot of this is
6 duplicative.

7 And the second bullet I just feel, I'm
8 not a methodologist, but I'm a little confused
9 by when that would be appropriate, so I'm a
10 little torn between the two. I like the
11 original criteria better frankly.

12 DR. ROSS: Dr. Canos, how would you
13 have voted?

14 DR. CANOS: I too would have voted
15 likely not important. I agree with the last
16 four panelists, that almost all of these
17 elements are captured here within other
18 discussed requirements. You now, there was
19 mention of a complete protocol in proposed
20 element E; you know, that would presumably
21 cover some of the aspects, and why we
22 specifically revoked some capacity and bias out
23 of the complete protocol, I'm uncertain here.

24 Also, elements in the first bullet
25 that speak to safety, I think we discussed with

1 Dr. Segal and asked what that would cover
2 beyond what is already covered for within
3 45 CFR Part 46 as well as CFR Part 56, and
4 there wasn't additional language there that
5 would justify an evaluation of safety for
6 Medicare, and certainly it would be mindful of
7 wording like that in the evaluation for
8 Medicare.

9 If we pushed for the wording, I too
10 prefer the 2014 version of the wording, but
11 would elect to strike and go without, given
12 that these elements are covered otherwise.

13 DR. ROSS: Dr. Umscheid, how would you
14 have voted?

15 DR. UMSCHIED: I would have voted two.
16 In reading the first bullet around generating
17 valid evidence safely and efficiently for
18 decision making, I think this is a nod to
19 innovation and flexibility in study design that
20 it sounds like a lot of members of this
21 committee and also speakers yesterday were
22 looking for, so I like that about this, it
23 makes that explicit. And it doubles down on
24 that by stating if a contemporaneous comparison
25 group is not included, the choice must be

1 justified. So it's making explicit that
2 there's room for innovation and flexibility
3 here.

4 And I think likewise for that second
5 bullet, again, this is particularly important
6 when studies are not randomized, so the
7 importance of insuring that there's adjustment
8 for confounding and biases is making that
9 criterion explicit, so I would say two,
10 essential.

11 DR. ROSS: Dr. Hodes, how did you
12 vote?

13 DR. HODES: Similarly, I would have
14 voted two for both elements as essential.

15 DR. ROSS: Okay, thank you for your
16 votes. We're going to move on for number 13.
17 This relates to the theme of subpopulations in
18 the study design. The prior version of the
19 requirement was, the study protocol must
20 explicitly discuss beneficiary subpopulations
21 affected by the item or service under
22 investigation, particularly traditionally
23 underrepresented groups in clinical studies,
24 how the inclusion and exclusion criteria
25 requirements affects enrollment of these

1 populations, and a plan for the retention and
2 reporting of said population in the trial. If
3 the inclusion and exclusion criteria are
4 expected to have a negative effect on the
5 recruitment or retention of underrepresented
6 populations, the protocol must discuss why
7 these criteria are necessary.

8 This has now been, the modified as
9 proposed criteria, in the protocol, the
10 sponsors/investigators describe plans for
11 analyzing demographic subpopulations, defined
12 by gender, age, as well as clinically-relevant
13 subgroups as motivated by the existing
14 evidence. Description of plans for exploratory
15 analyses, as relevant subgroups emerge, is also
16 appropriate to include, but not required.
17 Please cast your votes.

18 (The panel voted and votes were
19 recorded by staff.)

20 Waiting on one more vote. Okay, the
21 vote is complete. Dr. Dhruva, how did you
22 vote?

23 DR. DHRUVA: I voted two, essential.
24 A few thoughts that I'll share briefly. I
25 think there was something that was lost, I

1 liked the parts of the 2014 version. I think
2 it's important that we understand how
3 inclusion-exclusion criteria might affect
4 enrollment, that patients in populations that
5 are traditionally underrepresented are
6 enrolled, retained. I think that the current
7 criteria, however, is essential. There are
8 differences oftentimes in the benefits and
9 harms of the various medical services based on
10 gender and age.

11 I would also suggest that there is an
12 addition, that there is sufficient sample size
13 in order to conduct the various subgroup
14 analyses.

15 DR. ROSS: Dr. Fisch, how did you
16 vote?

17 DR. FISCH: I voted zero, not
18 important, really kind of influenced by some of
19 our discussion here recently, you know,
20 becoming convinced that the other items that
21 refer to subpopulations and sound methodology
22 basically covers this stuff. And I was a bit
23 put off by the idea that the description of
24 plans for exploratory analyses are explicitly
25 not required. I mean, I was thinking, why

1 would they not be required. I mean, I would
2 rather they say nothing than say something like
3 that, so I voted zero.

4 DR. ROSS: Dr. Flannery, how did you
5 vote?

6 DR. FLANNERY: I voted two, essential.
7 I think it does make good sense in conducting a
8 study in that manner.

9 DR. ROSS: Dr. Ford, how did you vote?

10 DR. FORD: I voted two as essential.
11 However, I personally like the wording of the
12 2014 version, because I think that it's more
13 explicit, and I think that the whole area of
14 health disparities and health inequities is
15 something that needs to be captured as we
16 create protocols or look at study designs. And
17 I think that, I know that it's a difficult area
18 to capture patients in subpopulations and so
19 forth, but I think that there should be some
20 baseline requirements that such data is looked
21 at and included in these different types of
22 protocols that will be developed.

23 So personally, I think the concept is
24 essential, but I like the wording the way that
25 it is laid out in version 2014 versus the newly

1 revised version.

2 DR. ROSS: Dr. Kanter, how did you
3 vote?

4 DR. KANTER: I voted two, essential.
5 I think specified plans is really important for
6 accountability, so just a feature of good
7 research practice. I might state a slight
8 preference for the 2014 requirements as well.

9 DR. ROSS: Dr. Maddox, how did you
10 vote?

11 DR. MADDOX: I voted zero, not
12 important, because I think the important piece
13 that is retained in the new version is already
14 in the populations bucket as opposed to the
15 subpopulations, and I prefer referring to it as
16 populations and subpopulations. And the part
17 that I liked about it is gone, which is the
18 idea around paying attention to recruitment of
19 traditionally underrepresented groups in
20 clinical studies, so I think the current
21 version has sort of lost the important part
22 from the old one, and all that's left is
23 already in a different bucket.

24 DR. ROSS: Dr. Mora, how did you vote?

25 DR. MORA: Yeah, I voted one,

1 important. I felt like the prior criteria
2 really addressed some of the issues that were
3 raised in this one, so I didn't feel as
4 strongly about it in terms of it being
5 essential. Thanks.

6 DR. ROSS: Dr. Ogunwobi, how did you
7 vote?

8 DR. OGUNWOBI: I voted two, but I
9 would like to reiterate the comment by
10 Dr. Dhruva as to adequate sample size for the
11 relevant subgroups. I do also believe that the
12 not required should be removed and instead be
13 replaced by required for plans with a large
14 reanalysis of relevant subgroups as they
15 emerge. And then finally, I think the comments
16 in regards to makeup of representative groups
17 should be repeated, but I did vote two.

18 DR. ROSS: Dr. Stearns, how did you
19 vote?

20 DR. STEARNS: I voted two because of
21 the overall importance of some of these
22 concepts, but I do agree that such populations
23 may have been covered by other criteria, and I
24 prefer the 2014 wording.

25 DR. ROSS: Dr. Whitney, how did you

1 vote?

2 DR. WHITNEY: I voted two, essential.
3 I think it's really important that we call this
4 out specifically, even if it may be covered in
5 other areas.

6 DR. ROSS: Dr. Riddle, how did you
7 vote?

8 DR. RIDDLE: I voted one, important.
9 I agree with Dr. Fisch, I believe it was
10 Dr. Fisch's comments about we're explicitly
11 calling out something that's not required; if
12 it's not required, we don't need to say it.
13 But I feel like subgroup analyses are actually
14 explicitly required to be laid out on the front
15 end and that's good research design and
16 methodological considerations on the front end
17 of the protocol.

18 DR. ROSS: Mr. Kremer, how did you
19 vote?

20 MR. KREMER: I voted zero. I would
21 associate myself generally with the comments
22 from Dr. Fresh, or Fisch, excuse me, Ford and
23 Maddox; I know I would trip up trying to say
24 three names. I will also just note -- well,
25 two last quick points. Like many others, I

1 prefer the 2014 wording. Specifically to the
2 proposed new language, I -- and with apologies
3 if I'm forgetting conversations over the last
4 day and a half. For the life of me, I can't
5 remember or figure out why if we're doing to
6 engage in a listing exercise, why we're only
7 listing gender and age. At least in a prior
8 question we said something like and others as
9 appropriate, or whatever the verbiage was.

10 Here we're listing two and we're not listing
11 race and ethnicity, we're not listing my prior
12 example of IDD and Down syndrome, which are
13 historically marginalized within clinical
14 trials, probably not the only small sub
15 population.

16 And apologies, one last think. Just
17 referencing the public comments we got about
18 particularly rare and ultra-rare diseases and
19 the complexity of getting the subpopulations
20 there, it's important and valuable to do it.
21 Whether it's feasible from disease to disease
22 may be uncertain at best, and problematic at
23 worst.

24 DR. ROSS: Mr. Patel, how would you
25 have voted?

1 MR. PATEL: I voted two. I think it's
2 important to call this out, even though
3 populations and subpopulations are discussed
4 elsewhere. I do not think the 2014 criteria
5 are appropriate for this day and age, because
6 if you read the wording it really implies
7 wording coming out of a random, out of a
8 clinical trial where you've got that
9 inclusion-exclusion criteria. If we want
10 future studies to be fit for purpose and to be
11 flexible where methodologically appropriate,
12 you may not always have inclusion-exclusion
13 criteria for example, and so I don't like the
14 nature of where the 2014 wording came from, so
15 I would prefer something updated.

16 DR. ROSS: Dr. Canos, how would you
17 have voted?

18 DR. CANOS: I would have voted zero,
19 not important, consistent with Dr. Maddox's
20 statements.

21 DR. ROSS: Dr. Umscheid, how would you
22 have voted?

23 DR. UMSCHEID: I would have voted two.
24 Originally I did see this as being duplicative
25 of the new criteria J around

1 representativeness, but as we learned
2 yesterday, this is clearly about taking those
3 representative populations and ensuring that
4 it's clear what subanalyses will be conducted.
5 So I think it's good research practice to do
6 that, and I do think it's not only the
7 demographics that are outlined here but also
8 clinically relevant subgroups.

9 DR. ROSS: Dr. Hodes, how would you
10 have voted?

11 DR. HODES: I would have voted a two,
12 essential, reflecting the importance of this
13 element and calling it out, despite some
14 overlap with other elements.

15 DR. ROSS: Okay, thank you for your
16 votes. We're going to move on to item 14,
17 reproducibility. There was no existing
18 requirement and now the proposed criteria is,
19 sponsors/investigators using secondary data
20 will demonstrate robustness of results by
21 conducting alternative analyses and/or using
22 supplementary data. Please vote.

23 (The panel voted and votes were
24 recorded by staff.)

25 Waiting on one more vote, and all the

1 votes are in. Dr. Dhruva, how did you vote?

2 DR. DHRUVA: I voted two, essential.
3 I think that there's significant benefit in
4 being able to trust the results when different
5 analyses as well as when feasible different
6 data sources come to the same conclusion.

7 DR. ROSS: Dr. Fisch, how did you
8 vote?

9 DR. FISCH: I voted one. I agree it's
10 important. I sort of saw it as a nice to have
11 but not necessarily a must have.

12 DR. ROSS: Dr. Flannery, how did you
13 vote?

14 DR. FLANNERY: I voted two, essential.

15 DR. ROSS: Dr. Ford, how did you vote?

16 DR. FORD: I voted important, and I
17 agree with Dr. Fisch, it's nice to have but not
18 necessarily a required factor.

19 DR. ROSS: Dr. Kanter, how did you
20 vote?

21 DR. KANTER: I voted one, important.
22 Just a couple comments. I noticed under the
23 reproducibility tag for robustness, we may have
24 discussed this, robustness is a different
25 concept from reproducibilities so you want it

1 to be, your result to go through even when
2 small parameters change. Second is just the
3 admission of primary data as sort of also
4 having to meet a similar standard.

5 DR. ROSS: Dr. Maddox, how did you
6 vote?

7 DR. MADDOX: I voted zero, not
8 important. I think as Dr. Kanter just said,
9 reproducibility and robustness are different,
10 and so I don't see this as reflective of
11 reproducibility at all, and robustness to me
12 goes under the methodological question around
13 how you deal with confounding and bias, and
14 sort of the, you know, the methodologic rigor
15 of your approach, so I don't know that this
16 adds a bunch, and I think it's mistitled.

17 DR. ROSS: Dr. Mora, how did you vote?

18 DR. MORA: Well, that's a tough one to
19 follow after Dr. Maddox. I voted two, only
20 because it felt like it was a bit more focused
21 on what we're trying to achieve, which is we
22 want the use of any secondary data to be
23 reliable and to be rigorous enough to allow us
24 to draw conclusions about the intents, so
25 thanks.

1 DR. ROSS: Dr. Ogunwobi, how did you
2 vote?

3 DR. OGUNWOBI: I voted two, and I
4 agree with the comments made by Drs. Kanter and
5 Maddox.

6 DR. ROSS: Dr. Stearns, how did you
7 vote?

8 DR. STEARNS: I voted one for
9 important. Although I think this type of
10 investigation can be very important, they may
11 not be essential under the application. And if
12 we're concerned about the time that the CED
13 process takes, then I think this requirement
14 should only apply in cases where there would be
15 concerns about either reproducibility or
16 robustness, although those are separate
17 concepts.

18 DR. ROSS: Dr. Whitney, how did you
19 vote?

20 DR. WHITNEY: I voted two. I thought
21 it was an important separate callout for the
22 reasons mentioned before.

23 DR. ROSS: Dr. Riddle, how did you
24 vote?

25 DR. RIDDLE: I voted one, important.

1 It is important to understand how to deal with
2 secondary data, but I agree with, I think it
3 was Dr. Kanter's statement about robustness
4 versus reproducibility, and these two concepts
5 are getting merged kind of inappropriately
6 here, I think.

7 DR. ROSS: Dr. Kremer, how did you, or
8 sorry, Mr. Kremer, how did you vote?

9 MR. KREMER: That's okay. So, I'm
10 again predictably a zero on this, and I would
11 just generally associate myself with comments
12 of the various actual doctors that said one and
13 zero, but with similar emphasis on Dr. Stearns'
14 point as well.

15 DR. ROSS: Thanks, and you can see I
16 do need another cup of coffee. Mr. Patel, how
17 would you have voted?

18 MR. PATEL: I would vote with
19 Dr. Stearns, I don't know if she voted one or
20 two, but I would vote one but completely agree,
21 this is obviously appropriate.

22 DR. ROSS: Dr. Canos, how would you
23 have voted?

24 DR. CANOS: Yeah, so I would have
25 voted a one. I agree fully with Dr. Kanter and

1 Dr. Maddox on all points raised.

2 DR. ROSS: Dr. Umscheid, how would you
3 have voted?

4 DR. UMSCHIED: I would have voted a
5 one, I think it's important but not essential.
6 I would also recommend a wording change. I
7 would probably use the term sensitivity
8 analyses instead of the term alternative
9 analyses.

10 DR. ROSS: Dr. Hodes, how would you
11 have voted?

12 DR. HODES: I would have voted one, in
13 association with the comments made by
14 Dr. Kanter.

15 DR. ROSS: Okay, thank you for your
16 votes. We're going to turn to item 15. In the
17 interest of time, I'm not going to read the
18 prior criteria, which is lengthy. I'm going to
19 just reinforce the proposed criteria which is,
20 the study is submitted for peer review with the
21 goal of publication using a reporting guideline
22 appropriate for the study design and structured
23 to enable replication. Please cast your votes.

24 (The panel voted and votes were
25 recorded by staff.

1 Okay, all the votes are in.

2 Dr. Dhruva, how did you vote?

3 DR. DHRUVA: I voted two, essential.

4 A couple of notes I made. First, this element,
5 this item doesn't mention results reporting,
6 which is mandated legally by clinicaltrials.gov
7 compliance, but I think that it's important
8 that the study be submitted for peer review
9 with the goal of publication, but the results,
10 the study and its results can be made available
11 through a variety of other methods such as
12 preprints. We've seen unfortunately a lot of
13 publication bias because of negative results,
14 and I think it's an ethical duty to study
15 participants that the results be made publicly
16 available.

17 DR. ROSS: Dr. Fisch, how did you
18 vote?

19 DR. FISCH: I voted number two, that
20 it's essential. You know, I was thinking
21 about -- well, Dr. Segal made the point
22 yesterday that there was some consideration
23 about requiring publication but that CMS can't
24 really control the publication process and
25 timetable, and she explained that peer review

1 is kind of like a surrogate for a product that
2 could be discernible and that may or may not
3 always be the case, but I decided that this was
4 as good as we could do and voted two.

5 DR. ROSS: Dr. Flannery, how did you
6 vote?

7 DR. FLANNERY: I voted two, essential.
8 I agree with the above.

9 DR. ROSS: Dr. Ford, how did you vote.

10 DR. FORD: I voted two, and I also
11 agree with the previous comments.

12 DR. ROSS: Dr. Kanter, how did you
13 vote?

14 DR. KANTER: I voted two, essential.
15 I will say I am, I don't think the criterion of
16 submission is sufficient. I mean, I can click
17 the mission to nature as well as the next
18 person, but I don't think that's a good proxy
19 for peer review, so I might actually strengthen
20 it to have some form of publication if peer
21 review is the objective. There are open access
22 and other journals that do focus on the regular
23 methodology rather than the so-called
24 significance of the outcomes, so I think there
25 are venues available for that.

1 DR. ROSS: Dr. Maddox, how did you
2 vote?

3 DR. MADDOX: I voted two, essential,
4 but I would agree that it's necessary but not
5 sufficient. The goal should be making sure
6 that the results regardless of the findings are
7 made accessible broadly, and undergo some sort
8 of review. So I don't think this goes far
9 enough, but I think it's an essential concept.
10 I also appreciate the language talking about
11 the appropriate for the study design to that it
12 clears, you know, if we have observational
13 data, again, to get away from the clinical
14 trial approach, and I appreciate that wording,
15 appropriate for study design, but I think it
16 doesn't far enough in requiring the results be
17 made available.

18 DR. ROSS: Dr. Mora, how did you vote?

19 DR. MORA: I voted two, essential, and
20 agree with prior comments.

21 DR. ROSS: Dr. Ogunwobi, how did you
22 vote?

23 DR. OGUNWOBI: I voted two, and I
24 agree that just submitting for peer review is
25 not enough, there needs to be some

1 strengthening of this requirement to push them
2 to peer review avenues that will test for
3 reproducibility and hopefully the data can be
4 made public.

5 DR. ROSS: Dr. Stearns, how did you
6 vote?

7 DR. STEARNS: I voted two for
8 essential, and I have the same concerns
9 expressed by others in that the being submitted
10 for peer review seems like not being enough.

11 I'm going to provide two comments to
12 CMS, and one of those has to do with the
13 possibility of consideration of mechanisms such
14 as Registered Report. I sent a link around, on
15 that yesterday. And then I'm also going to
16 send CMS a link about this issue of negative
17 publication bias.

18 But I'm okay with the current wording
19 because I think it's a compromise and that
20 requiring publication is not possible.

21 DR. ROSS: Dr. Whitney, how did you
22 vote?

23 DR. WHITNEY: I voted two, essential.
24 I think the notion that it's going to end up in
25 the published literature is really important.

1 I would point out that the way it's worded, is
2 it possible to satisfy at the outset of a CED,
3 because it says it's already submitted and it
4 hasn't even started yet, so you may want to
5 look at how the timing works in terms of the
6 wording.

7 DR. ROSS: Dr. Riddle, how did you
8 vote?

9 DR. RIDDLE: I voted two, essential,
10 and echo the comments that likely this does not
11 go far enough.

12 DR. ROSS: Mr. Kremer, how did you
13 vote?

14 MR. KREMER: I voted zero
15 predominantly for the reasons that I explained
16 in our open discussion before the voting, but I
17 will just reiterate one point. While I think
18 we have consensus that peer review and
19 transparency are critically important to the
20 field, my concern here is about how this is
21 implemented and if this winds up extending the
22 time after which it is clear from the evidence
23 that there is a reasonable and necessary degree
24 of benefit for patients, that this extends the
25 period of time before they can actually get it.

1 And it's those periods of delta that
2 really scare me. Before a study is even
3 started and no one has access, even those who
4 would be enrolled in it, in a CED trial and
5 after that trial has been completed but before
6 a reconsideration process is engaged or
7 completed by CMS, you've got a big window of
8 time where patients lose out on benefit to
9 which they ought to be entitled in a timely
10 fashion.

11 DR. ROSS: Mr. Patel, how would you
12 have voted?

13 MR. PATEL: I would have voted two. I
14 agree with Dr. Whitney, the phrasing should be
15 the study will be submitted, if the study has
16 been completed, but I also think about this
17 requirement in conjunction with criterion Q, in
18 which we were expecting the data to be
19 delivered to CMS.

20 And I think to the point that
21 Mr. Kremer just made, you know, in terms of the
22 delay, presumably, and maybe we're talking
23 about it in terms of criteria Q, but if CMS has
24 the data in a timely manner, they can negotiate
25 a reconsideration while the publication process

1 goes on. So I kind of think a little bit about
2 the two together, so transparency is clearly
3 necessary if there's a (break in audio)
4 negative understand sort of a publication bias
5 taking place here. But hopefully, the fact
6 that CMS will had the data under criterion Q
7 will offset some of that and give us the
8 transparency that I think would satisfy that
9 component.

10 DR. ROSS: Dr. Canos, how would you
11 have voted?

12 DR. CANOS: I would have voted two.
13 Actually, Dr. Kanter's and Dr. Maddox's, their
14 sentiments there, as well as the considerations
15 around the timing as Dr. Whitney mentioned, the
16 time that CMS had to make a decision on
17 improving CED studies, it's more of a
18 commitment that the individuals making the
19 sponsor/investigators to submitting these, as
20 opposed to them actually occurring.

21 You know, just a bit of a caution too
22 on timely information to Medicare. I think
23 it's important that this is all in a public
24 space whereby, you know, reconsideration or
25 otherwise, Medicare makes, I don't believe can

1 be made off with data that they're reporting
2 uniquely that has to be part of the public
3 realm, so certainly wouldn't down prioritize
4 this reporting on item 15 in any way.

5 DR. ROSS: Dr. Umscheid, how would you
6 have voted?

7 DR. UMSCHEID: I would have voted two,
8 and I echo the comments of Dr. Canos.

9 DR. ROSS: Dr. Hodes, how would you
10 have voted?

11 DR. HODES: I would have voted two,
12 essential, and I agree with those who suggest
13 that submission for peer review is necessary
14 but not sufficient and the reexamination, there
15 are other ways to make data publicly available
16 even before a formal publication. We have
17 concerns that were just expressed about having
18 data made available to CMS, I doubt that CMS
19 would want to be in a position of having
20 private data to which only it had access to, on
21 the basis of rendering a decision.

22 DR. ROSS: Thank you for your votes.
23 We're going to move on to criterion 16, under
24 the theme of sharing for which there was no
25 existing requirement previously. The proposed

1 criteria is, the sponsors/investigators commit
2 to sharing analytical output, methods and
3 analytical code with CMS or with a trusted
4 third party in accordance with the rules of
5 additional funders, institutional review boards
6 and data vendors as applicable. The schedule
7 for sharing is included among the study
8 milestones. The study should comply with all
9 applicable laws regarding subject privacy,
10 including Section 165.514 of the Health
11 Insurance Portability and Accountability Act of
12 1996, otherwise known HIPAA. Please cast your
13 votes.

14 (The panel voted and votes were
15 recorded by staff.)

16 We have one more vote. There we go.
17 Dr. Dhruva, how did you vote?

18 DR. DHRUVA: I voted two, essential I
19 think this is an essential requirement with the
20 addition that Dr. Kanter pointed out in her
21 questions earlier today that this does not
22 include data sharing, which is obviously
23 absolutely essential in order to be able to use
24 the methods and the analytic code to be able to
25 arrive at an outcome.

1 DR. ROSS: Dr. Fisch, how did you
2 vote?

3 DR. FISCH: I voted two, essential
4 also. I think the public would appreciate if
5 the kind of spirit of trust were verified.

6 DR. ROSS: Dr. Flannery, how did you
7 vote?

8 DR. FLANNERY: Two, essential.
9 Transparency is very important.

10 DR. ROSS: Dr. Ford, how did you vote?

11 DR. FORD: I voted essential as well,
12 and I agree that transparency with the public
13 is very important.

14 DR. ROSS: Dr. Kanter, how did you
15 vote?

16 DR. KANTER: I voted two, essential,
17 and I did want to strengthen it to include data
18 as well as the output methods in the code.

19 DR. ROSS: Dr. Maddox, how did you
20 vote?

21 DR. MADDOX: I voted one, important,
22 because as written without reference to data, I
23 don't think it does much, code is sort of
24 useless without knowing what it does, but I
25 completely agree that this concept is crucial.

1 DR. ROSS: Dr. Mora, how did you vote?

2 DR. MORA: I voted two, essential, it
3 promotes transparency and trust.

4 DR. ROSS: Dr. Stearns, how did you
5 vote?

6 DR. STEARNS: I voted two, essential,
7 and I agree with a comment that was submitted
8 by the researchers at the Schaffer Center,
9 which is that taxpayer-funded data collection
10 mandates should require to the extent possible
11 that the identified data should be made
12 publicly available as soon as ethically or
13 reasonably possible.

14 DR. ROSS: Dr. Whitney, how did you
15 vote?

16 DR. WHITNEY: Two, essential. I agree
17 with the prior comments.

18 DR. ROSS: Dr. Riddle, how did you
19 vote?

20 DR. RIDDLE: Two, essential, and I
21 would implore CMS to require data sharing as
22 well, as has been mentioned by others.

23 DR. ROSS: Mr. Kremer, how did you
24 vote?

25 MR. KREMER: I voted zero.

1 Transparency, incredibly important, I agree
2 with all my colleagues on that. I would just
3 reiterate my previous point that transparency
4 like so many other things, needs to be a
5 two-way street, and while
6 sponsors/investigators owe all of us
7 transparency, CMS owes us greater transparency
8 than we have gotten historically, and more
9 transparency than I fear we will get looking
10 forward about how they reach decisions, either
11 to initiate CED, or whether to reconsider or
12 whether a reconsideration results in coverage
13 or non-coverage. So the entire system
14 holistically and contemporaneously needs to be
15 much more transparent.

16 DR. ROSS: Mr. Patel, how would you
17 have voted?

18 MR. PATEL: I would vote two. I would
19 urge a little bit of caution on the data piece,
20 data sharing piece as I mentioned earlier today
21 or yesterday, around some of the sources of
22 data that may actually not allow that to
23 happen. I do think it's important to share the
24 analytic outputs and code, I've said that.

25 And I think the other change I would

1 make goes back to the protocol submission. So
2 when we talk about sharing, included among the
3 study milestones, maybe put in a requirement
4 that basically says, you know, if the protocol
5 is submitted and not published within the
6 appropriate time, then CMS does have the
7 ability to make public the analytic output, and
8 basically then initiate an NCD. So I think
9 there can be something crafted where you push
10 for the protocol submission and hopefully
11 publication, but if not, CMS retains the right
12 to fully make the analytic output public in
13 some way, so that the NCD process can continue
14 frankly.

15 DR. ROSS: Thank you. My apologies,
16 Dr. Ogunwobi, I thought I called on you, but
17 Tara sent me a message saying I did not ask you
18 your vote and rationale.

19 DR. OGUNWOBI: Yes, I voted two, and I
20 agree with the comments that it does not go far
21 enough, transparency is critical.

22 MR. ROSS: Okay. I apologize for
23 following along with a pen. My apologies.

24 Dr. Canos, how would you have voted?

25 DR. CANOS: I would have voted two

1 with the wording as stated up until the last
2 sentence on the session applies, and I'm a
3 little unclear if sharing this information with
4 CMS is actually a study activity or something
5 done after the study itself, so compliance of
6 the study with applicable laws, I'm wondering
7 if it actually falls, you know, under J and
8 other things stated within the requirements.

9 Additionally, you know, as stated
10 during the discussion period, uncertain if
11 HIPAA would really be applicable for a sponsor
12 in this case as far as the data sharing goes,
13 and ultimately it's the sponsor/investigator
14 that the CED study is being approved for and
15 the requirements are upon, so I, if we did
16 state something about the applicable laws, that
17 I would mention sharing of data in compliance
18 with applicable laws and allow for, you know,
19 CMS or others to, you know, CMS can make sure
20 that these are in line with the laws for the
21 sponsor/investigator.

22 DR. ROSS: Dr. Umscheid, how would you
23 have voted?

24 DR. UMSCHIED: I would have voted two,
25 and I have no new comments to add.

1 DR. ROSS: Okay. Dr. Hodes, how would
2 you have voted?

3 DR. HODES: I would have voted two
4 with a suggestion for additional inclusion of
5 data.

6 DR. ROSS: Okay, thank you for your
7 votes. Moving on to the last item which I
8 expect will actually be, but maybe I'll be
9 surprised, the least controversial, this is the
10 theme of legal.

11 The prior criteria was, the study is
12 not designed to exclusively test toxicity or
13 disease pathophysiology in healthy individuals.
14 Such studies may meet this requirement only if
15 the disease or condition being studied is life
16 threatening as defined in 21 CFR 312.81(a) and
17 the patient has no other viable treatment
18 options.

19 The proposed criterion now up for the
20 vote is, the study is not designed to
21 exclusively test toxicity, although it is
22 acceptable for a study to test a reduction in
23 toxicity of a product relative to standard of
24 care or an appropriate comparator. For studies
25 that involve researching the safety and

1 effectiveness of new drugs and biological
2 products aimed at treating life-threatening or
3 severely-debilitating diseases, refer to
4 additional requirements set forth in
5 21 CFR 312.81(a). Please cast your votes.

6 (The panel voted and votes were
7 recorded by staff.)

8 Waiting for one more vote. Okay, the
9 votes are all in. Dr. Dhruva, how did you
10 vote?

11 DR. DHRUVA: I voted two, essential.
12 I think this is a reasonable and essential
13 requirement.

14 DR. ROSS: Dr. Fisch, how did you
15 vote?

16 DR. FISCH: I voted one, that it's
17 important. It does seem kind of redundant to
18 the extent that we're talking about net
19 benefit, net person-centered benefit. I think
20 it sort of implies that pathophysiology or
21 toxicity only might not meet that criteria, but
22 I voted one.

23 DR. ROSS: Dr. Flannery, how did you
24 vote?

25 DR. FLANNERY: I voted one, it's

1 important but not essential. It's not fully
2 understandable, why the first sentence is
3 necessary.

4 DR. ROSS: Dr. Ford, how did you vote.

5 DR. FORD: I voted that it was
6 important, and I also agree about, that it's
7 also implied in other sections of the report
8 regarding the actual benefit to patients, so my
9 vote was important, number one.

10 DR. ROSS: Dr. Kanter, how did you
11 vote?

12 DR. KANTER: I voted one, important.
13 I also am not sure I understand the full
14 implication, but if the issue is just simply
15 testing toxicity or safety, one can imagine,
16 you know, there are scenarios where you're
17 translating FDA studies to the Medicare
18 population where safety is the central issue,
19 as opposed to efficacy.

20 DR. ROSS: Dr. Maddox, how did you
21 vote?

22 DR. MADDOX: I voted one, important.
23 I'm not sure I totally understand, since the
24 first sentence seems to say it shouldn't
25 exclusively test toxicity unless it's testing

1 related to something else? Maybe I just don't
2 understand it, but it didn't feel like
3 something that needed to be essential.

4 DR. ROSS: Dr. Mora, how did you vote?

5 DR. MORA: I voted one, important, and
6 I don't have any additional comments to add.
7 Thanks.

8 DR. ROSS: Dr. Ogunwobi, how did you
9 vote?

10 DR. OGUNWOBI: I voted two, and I
11 agree with Dr. Dhruva.

12 DR. ROSS: Dr. Stearns, how did you
13 vote?

14 DR. STEARNS: I voted one, largely for
15 reasons given. I kind of understand it's
16 important, but I would think toxicity would
17 have been covered by other criteria.

18 DR. ROSS: Dr. Whitney, how did you
19 vote?

20 DR. WHITNEY: I voted zero, not
21 important. I think it's addressed in all the
22 prior criteria around proper outcome selection,
23 net clinical benefit, yadda, yadda, yadda.
24 Then there's a big, you know, obvious exception
25 clause here that would be the principal space I

1 would expect this to be considered. So it's
2 essentially saying don't do it unless you mean
3 to do it, and then it would meet the prior
4 criteria, so not important.

5 DR. ROSS: Dr. Riddle, how did you
6 vote?

7 DR. RIDDLE: I voted two, essential,
8 but I'm not sure I agree with myself actually
9 after listening to the comments for this. This
10 is confusing to be completely honest, and I
11 think maybe could completely get struck
12 altogether, to be completely honest with you
13 guys.

14 DR. ROSS: Okay. Mr. Kremer, how did
15 you vote?

16 MR. KREMER: Well, with a shout out to
17 Dr. Riddle for his flexibility where I'm
18 showing none, I'm voting zero again. But with
19 that said, generally I agree with Dr. Whitney
20 on the rationale. If I weren't going to vote
21 zero for other reasons, I'd vote zero for
22 Dr. Whitney's reasons. That said, I sort of
23 appreciate, notwithstanding the uncertainty
24 about that second clause in the first sentence,
25 I kind of appreciate the shout out to having

1 some reason to test reduction of toxicity,
2 because I don't think that's as evident in the
3 existing language, so I'm still a zero.

4 DR. ROSS: Mr. Patel, how would you
5 have voted?

6 MR. PATEL: I guess a one. I meant,
7 if the requirements in 21 CFR have to be there,
8 they have to meet all other applicable laws, I
9 thought we said somewhere else. I'm not sure
10 why they need an additional call out.

11 DR. ROSS: Dr. Canos, how would you
12 have voted?

13 DR. CANOS: One, and agree with
14 Dr. Maddox as far as the lack of clarity around
15 the first sentence.

16 DR. ROSS: Dr. Umscheid, how would you
17 have voted?

18 DR. UMSCHEID: One, and I echo the
19 comments of Dr. Patel.

20 DR. ROSS: Dr. Hodes, how would you
21 have voted?

22 DR. HODES: Similarly, one, same
23 comment.

24 DR. ROSS: Okay, thanks for your
25 votes.

1 That actually brings us to the end of
2 the voting questions portion of our meeting.

3 Does anyone have anything they would
4 like to add as a conclusion before we bring
5 this meeting to a close and I turn it back over
6 to CMS? Mr. Patel?

7 MR. PATEL: Dr. Ross, I want to
8 commend you for doing a great job. You got us
9 through two days on time, with not a lot of
10 confusion and everything else, so kudos to you,
11 and hopefully you get another assignment in the
12 near future to do this again.

13 DR. ROSS: Thank you. I only skipped
14 a couple people going around; I realized I'm
15 not very good at factory work, but doing the
16 same thing over and over, my mind wandered.

17 Dr. Ford, did you have a question or
18 want to make a comment?

19 DR. FORD: I actually had a question.
20 I was just curious. How will all of the
21 comments and suggestions be dealt with?

22 DR. ROSS: That's great, thank you.
23 And of course I want to thank the entire
24 committee for being so thoughtful and
25 insightful and attentive throughout the two

1 days, offering numerous comments and
2 suggestions to CMS.

3 The steps, the path forward is, all of
4 the information, everything we've said, all of
5 the votes we've taken, everything has been
6 recorded and is being transcribed for the CAG
7 team to take into consideration as they take
8 the AHRQ report into consideration along with
9 the proposed criteria. These are suggestions
10 to CMS to modify their coverage with evidence
11 development criteria.

12 The report was asked for or requested
13 by CAG. Now with the sort of recommendations
14 in hand from AHRQ and our comments and
15 suggestions in hand, they will then ideally put
16 together a final, or a near draft sort of
17 proposal, and the CAG team can chime in on
18 this, but they put that together and that will
19 then go out for public comment before any CED
20 criteria are finalized.

21 But that's the step forward. So
22 everything that's been said throughout the
23 meeting, both by members of the committee and
24 members of the public, is now in the record for
25 CMS to consider.

1 Dr. Mora?

2 DR. MORA: Just a quick shout out as
3 well to all the team that helped coordinate and
4 get us all prepared for this. I know that I
5 needed a little extra support and reminders,
6 and they did a great job. And once again to
7 you, Dr. Ross, thank you for your facilitating
8 leadership, engaging us all, and working us
9 through this complex process. Appreciate it.

10 DR. ROSS: Thank you again.

11 Mr. Kremer?

12 MR. KREMER: So I'll just reiterate
13 the thanks to you, Joe, for your leadership,
14 and I of course want to thank all my colleagues
15 voting and nonvoting on the panel, but I
16 particularly want to thank CMS and the CAG for
17 having me here.

18 Clearly I am a dissenting voice, not
19 of the substance but on the fundamentals, the
20 question about whether CMS even has authority,
21 and CMS did not have to allow me to be part of
22 this panel, but I appreciate listening not only
23 to my point of view whether it changed any
24 votes or not, whether it changes the outcome or
25 not, I appreciate the opportunity to try to

1 influence the process. And more important than
2 that, I appreciate the CAG, CMS and all of the
3 panel members, again voting and nonvoting,
4 doing their level best to take to heart the
5 public comment, which is far more important
6 than anything I might have said during the last
7 two days. If this is about anybody, it's got
8 to be about Medicare beneficiaries themselves,
9 and secondarily about their family members and
10 any other ecosystem of support, and if this
11 process serves them, then we'll figure out how
12 to surmount whatever the regulatory and
13 statutory issues might be about authority, but
14 if it doesn't serve them, then we've got to
15 find a process that does.

16 DR. ROSS: Tamara or Tara, do you have
17 any concluding comments for the committee
18 before we adjourn? Did we get through
19 everything you needed us to?

20 MS. JENSEN: Oh, thank you, everyone.
21 Very impressive, we were able to get through 17
22 questions in one day, so that is a record for a
23 MEDCAC panel.

24 And so next steps, I think we're
25 getting questions from the public as well as

1 all of you. So the next steps are what
2 Dr. Ross just outlined, which is we're going to
3 take all of the comments and how the transcript
4 is very important, that will be made public
5 sometime probably early next -- not the
6 transcript because it needs to be transcribed,
7 but everything you've said today, the votes and
8 everything will be public next week.

9 If CMS working with our partners at
10 AHRQ decides to update the coverage with
11 evidence development criteria, the next step
12 would be that we would issue a guidance
13 document as allowed under the statutes, under
14 the process we have outlined in our Federal
15 Register notice. So we would issue the
16 guidance document, there would be a public
17 comment period, and then we would issue a final
18 guidance document in answering the public
19 comment.

20 So again, a lot of opportunities, this
21 will be the third opportunity for the public
22 can to weigh in on the CED criteria.

23 This meeting is essential for us to
24 decide, you know, how we're going to, what we
25 might update if we update all of those items on

1 there. So again, really, thank you, everyone,
2 for weighing in and helping us move to update
3 and improve the criteria, as well as all the
4 comments in the process, which we also take a
5 look at. I hope everyone has a wonderful week
6 after the last two days.

7 MR. KREMER: Tamara, I apologize. I
8 put a quick question in chat, I apologize for
9 it being after your closing, but will there
10 actually be a video recording posted for the
11 public at some point for those who would
12 benefit from more than a raw transcription?

13 MS. JENSEN: I don't know.

14 MS. HALL: Yes, there will be.

15 MR. KREMER: Great, thank you, and
16 again, apologies for the last-minute question.

17 MS. JENSEN: That was a good question,
18 thank you.

19 DR. ROSS: Thanks again to all my
20 colleagues for making the time to spend ten
21 hours for the past two days discussing all of
22 these criteria and all the time in advance.

23 Enjoy the rest of your day and take
24 care. Thank you.

25 (Whereupon, the meeting adjourned at

1 2:57 p.m. EST.)

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25